

**CENTERS FOR DISEASE CONTROL AND PREVENTION**  
**LEAD EXPOSURE AND PREVENTION ADVISORY COMMITTEE**  
(LEPAC)

MEETING HELD VIA ZOOM WEB VIDEO CONFERENCING

MAY 14, 2021 9:00 A.M.

PRESIDING OFFICER: PERRI RUCKART, DrPH (cand), MPH,  
DESIGNATED FEDERAL OFFICIAL, NCEH/ATSDR

STEVEN RAY GREEN COURT REPORTING, LLC  
Steven Ray Green, CCR, CVR-CM-M

Atlanta, Georgia

**TABLE OF CONTENTS**

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS	7
FEDERAL LEAD ACTION PLAN (FLAP)	23
AMERICAN HEALTHY HOMES SURVEY (AHHS) II	53
40-YEAR NATIONAL HEALTH and NUTRITIONAL EXAMINATION SURVEY (NHANES) ANALYSIS	77
PUBLIC COMMENT	95
DISCUSSION OF 2020 ANNUAL REPORT	101
BLOOD LEAD REFERENCE VALUE WORKGROUP UPDATE PRESENTATION	106
FACILITATED DISCUSSION	120
CONTINUED FACILITATED DISCUSSION	161
WRAP UP FACILITATED DISCUSSION AND TOPICS FOR NEXT MEETING	178
COURT REPORTER'S CERTIFICATE	194

## **PARTICIPANTS**

PERRI RUCKART, DrPH (cand), MPH, Designated Federal Official, Program Development, Communications and Evaluation Team, Lead Poisoning Prevention and Surveillance Branch (proposed), National Center for Environmental Health, Centers for Disease Control and Prevention.

PATRICK N. BREYSSE, Ph.D., CIH, Director, National Center for Environmental Health/Agency for Toxic Substances and Disease Registry, Centers for Disease Control and Prevention.

PAUL ALLWOOD, Ph.D., MPH, RS, Branch Chief, Lead Poisoning Prevention and Surveillance Branch (proposed), NCEH/ATSDR

JEANNE BRISKIN, M.S., Director, Office of Children's Health Protection, U.S. Environmental Protection Agency.

WALLACE CHAMBERS, JR., M.H.A., Deputy Director, Environmental Public Health, Cuyahoga County Board of Health.

MICHAEL FOCAZIO, Ph.D., M.S., Program Coordinator, Environmental Health Mission Area, U.S. Geological Survey.

TIFFANY DEFOE, M.S., Director, Office of Chemical Hazards-Metals, Occupational Safety & Health Administration, U.S. Department of Labor.

NATHAN GRABER, M.D., M.P.H., Pediatrician, St. Peter's Pediatrics, St. Peter's Health Partner Medical Associates.

KARLA JOHNSON, M.P.H., Administrator, Healthy Homes Environmental Consumer Management and Senior Care Department, Marion County Public Health Department.

## **PARTICIPANTS**

(continued)

DONNA JOHNSON-BAILEY, M.P.H., R.D., Senior Nutrition Advisor, Office of Policy Support Food and Nutrition Service, U.S. Department of Agriculture.

ERIKA MARQUEZ, Ph.D., M.P.H., Assistant Professor, School of Public Health, University of Nevada at Las Vegas.

HOWARD MIELKE, Ph.D., M.S., Professor, Department of Pharmacology, Tulane University School of Medicine.

ANSHU MOHLLAJEE, Sc.D., M.P.H., Research Scientist Supervisor I, Childhood Lead Poisoning Prevention Branch, California Department of Public Health.

JILL RYER-POWDER, Ph.D., M.N.S.P., Principal Health Scientist, Environmental Health Decisions.

JANA TELFER, M.A., LEPAC Meeting Facilitator, Strategic Projects Officer, National Center for Environmental Health/Agency for Toxic Substances and Disease Registry, Centers for Disease Control and Prevention.

WARREN FRIEDMAN, Ph.D., CIH, FAIHA, Senior Advisor to the Director, HUD Office of Lead Hazard Control and Healthy Homes.

PETER ASHLEY, DrPH, MPH, Director, Policy and Standards Division, HUD Office of Lead Hazard Control and Healthy Homes.

KATHRYN EGAN, Ph.D., MPH, MPhil, Epidemiologist, Lead Poisoning Prevention and Surveillance Branch (proposed), NCEH/ATSDR.

MATTHEW AMMON, M.S., LEPAC Chair, Director, Office of Lead Hazard Control and Healthy Homes, U.S. Department of Housing and Urban Development.

TAMMY BARNHILL-PROCTOR, M.S., Supervisory Education Program Specialist, Office of Innovation and Early Learning, Office of Elementary and Secondary Education, U.S. Department of Education. (not present)

DR. MONIQUE FOUNTAIN-HANNA, M.D., M.P.H., Senior Regional Medical Consultant, Maternal and Child Health Bureau, Division of Home Visiting and Early Childhood Systems, Region III, Health Resources and Services Administration (HRSA). (not present)

Transcript Legend

^ - Indiscernible.

(sic) - Exactly as said.

(ph.) - Exact spelling unknown.

-- Break in speech continuity.

... Indicates halting speech, unfinished sentence or omission of word(s) when reading.

Quoted material is typed as spoken.

1 P R O C E E D I N G S

2 **WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS**

3 **MS. RUCKART:** Well, good morning everyone and welcome  
4 to CDC's third LEPAC meeting, Lead Exposure and Prevention  
5 Advisory Committee Meeting. We're glad that you can join  
6 us. I'm Perri Ruckart, the LEPAC Designated Federal  
7 Official. For those who don't know me, I'm an  
8 epidemiologist by training and I've been with CDC for over  
9 20 years and with the Childhood Lead Poisoning Prevention  
10 Program since 2017 where I'm currently the team lead for  
11 the Program development, Communications, and Evaluation  
12 team. We're glad that you're able to join us virtually  
13 and thank you for your participation and dedication to  
14 prevent childhood lead poisoning.

15 In addition to the members and the speakers, we have  
16 approximately 100 attendees viewing the meeting. Please  
17 note that audience members will be muted during the  
18 meeting. The meeting will be recorded for transcription  
19 purposes. The transcript of the meeting, as well as a  
20 meeting summary will be available on our website in the  
21 near future. Because we have a full schedule, we will  
22 adhere to the agenda times as best as we can.

23 But before we start the introduction and begin  
24 today's meeting, I'd like to quickly summarize the  
25 highlights from the October 2020 meeting; that was our

1 last meeting. The common themes that were discussed  
2 during that meeting were the impacts of COVID-19 on lead  
3 testing, lead elimination, primary and secondary  
4 prevention, case management and follow-up, screening, the  
5 blood lead reference value BLRV, lead in soil, housing and  
6 health, laboratory considerations, partnerships and  
7 community engagement. And research gaps identified during  
8 the last meeting were occupational and recreational  
9 take-home exposure, surveillance integration, lead risk  
10 models, soil-lead mitigation, non-paint sources of lead,  
11 impacts of COVID-19, consumer understanding of lead  
12 exposure, identifying high-risk communities, impact of  
13 lead poisoning prevention on housing stability, violence  
14 and crime, lead in food, and infrastructure.

15 And I know we went through all of this information on  
16 themes and gaps quickly, but it is available on the CDC  
17 LEPAC website if anyone wants to refer to it later or for  
18 more details. And during the last meeting we also heard  
19 public comments on the need for primary and secondary  
20 prevention activities to be grounded in the scientific  
21 evidence and to consider the most important sources of  
22 lead by each group. There were also several comments  
23 about the BLRV; these include the implications of lowering  
24 the BLRV on other federal agency policies, concerns over  
25 potential harms caused by lowering the BLRV such as false-



1 positives causing more unnecessary costs, tests and stress  
2 for the families, the need to develop messaging if the  
3 BLRV is lowered and the need to deliberate on the meaning  
4 of the BLRV and whether it should be synonymous with a  
5 case definition. So we seriously consider all the public  
6 comments and appreciate you sharing that with us.

7 I will now turn it over to the members and speakers  
8 to briefly introduce themselves when I call on you. Let's  
9 start with Dr. Pat Breysse; he's the Director of CDC's  
10 National Center for Environmental Health.

11 **DR. BREYSSE:** Yes, good morning. I'm pleased to have  
12 you join the third Lead Exposure Prevention meeting. As  
13 you know, CDC has a longstanding role in childhood lead  
14 poison prevention. And in 2021, the childhood lead  
15 prevention program commemorates its 30th year of funding  
16 state and local childhood lead poisoning prevention  
17 programs to eliminate lead poisoning as a public health  
18 problem. So that's 30 years of work; we've done a lot of  
19 good things over that period of time.

20 We just recently announced a new five-year funding  
21 opportunity for childhood lead poisoning prevention and  
22 surveillance programs in children. The application due  
23 date is today, May 14th, and I also want to -- as we come  
24 around to introduce some people, acknowledge Paul Allwood  
25 who is the new Branch Chief for the Lead Poisoning

1 Prevention Surveillance Branch; he began on March 1st.  
2 His career in public health began in Jamaica as a public  
3 health quarantine officer at the International Airport in  
4 Kingston. Prior coming to CDC he served in a variety of  
5 public health roles including as the Assistant  
6 Commissioner at Minnesota Health Department.

7 I want to extend my thanks to you all and the LEPAC  
8 members and everyone that makes this LEPAC happen, we look  
9 forward to another productive meeting. Back to you Perri.

10 **MS. RUCKART:** Thank you. And that's a perfect segue  
11 into our next introduction from Dr. Paul Allwood.

12 **DR. ALLWOOD:** Good morning everyone. It's a real  
13 pleasure for me to be here. This is my first LEPAC as a  
14 CDC employee. I listened to the transcript of the first  
15 and I attended virtually the second meeting and I have to  
16 say both of those were, you know, just amazing experiences  
17 for me. I also want to extend my thanks to the members of  
18 LEPAC for your partnership and your expertise in helping  
19 guide the CDC towards the goal of eliminating childhood  
20 lead poisoning. You know, LEPAC is a priority for the  
21 lead branch and I am looking forward to work together over  
22 many years.

23 And as Dr. Breyse mentioned, you know, this is our  
24 30th anniversary year as a program at the CDC and that,  
25 you know, we've accomplished a lot over the years as he

1 also mentioned. However, we know that there's a lot that  
2 still needs to be done and so, you know, we continue to,  
3 you know, try to move towards that goal with purpose and  
4 this year we're planning our -- we've already done are --  
5 are planning several activities to help to mark this  
6 anniversary. And we invite you to, you know, check out  
7 our website and see some of the things that have been  
8 accomplished, you know, with the pull on partners and  
9 things that we're planning to -- to do in future years.

10 Dr. Breysse also mentioned that we -- we have just  
11 now announced our new funding opportunity and actually the  
12 due date for applications is today. This time around we  
13 are going to be focusing on strengthening four key  
14 strategic areas: testing and reporting, surveillance,  
15 mitigation services and targeted population risk  
16 preventions with the goal of achieving, you know, better  
17 environmental justice and health equity.

18 So thank you all for being here, and I look forward  
19 to the rest of the meeting.

20 **MS. RUCKART:** Thank you. We'll turn it over to  
21 Jeanne Briskin.

22 **MS. BRISKIN:** Good morning. I'm Jeanne Briskin, I'm  
23 the Director of Children's Health Protection at the  
24 Environmental Protection Agency. I'm very grateful to be  
25 part of this LEPAC EPA. We have started an -- an agency

1 equity workgroup where lead is one of the key focus for  
2 our attention to reduce adverse impacts of lead on  
3 everyone, but in particular on underserved communities.  
4 Thanks very much.

5 **MS. RUCKART:** Thank you. Wallace Chambers.

6 **MR. CHAMBERS:** Hello everyone, this is Wallace  
7 Chambers. I currently work at the Cuyahoga County Board  
8 of Health as the Deputy Director of Environmental Public  
9 Health. I currently serve on the LEPAC, as well as the  
10 Blood Lead Reference Value workgroup. I've been involved  
11 with lead since 1996 doing inspections, risk assessments  
12 and HUD grants. And it's nice to switch up and do some  
13 lead stuff because I've been in the world of COVID for  
14 some time now, so I needed a break from that world, and I  
15 look forward to the meeting. Thank you.

16 **MS. RUCKART:** Great, thank you. Dr. Michael Focazio.

17 **DR. FOCAZIO:** Yeah, good morning. I'm having trouble  
18 with my video here. I'm Mike Focazio, I work for the U.S.  
19 Geological Survey and I run our Environmental Health  
20 National Research Program where we look at sources, fate  
21 and transport of a wide range of inorganic, as well as  
22 organic, contaminants and lead being one of them. We look  
23 at them from sources through watersheds and aquifers into  
24 infrastructure and premise plumbing all the way to tap and  
25 so lead is one of many that we -- we focus our attention

1 on.

2 **MS. RUCKART:** Thank you. Tiffany DeFoe.

3 **MS. DEFOE:** Hi, I'm Tiffany DeFoe. I'm the Director  
4 of the Office of Chemical Hazards-Metals and the Director  
5 of the Standards and Guidance of OSHA. This is my third  
6 LEPAC meeting and it -- within my office we are currently  
7 developing an advance notice of proposed rulemaking to  
8 update OSHA's blood standard. I also serve on the  
9 President's Task Force in -- on Environmental Health Risks  
10 and Safety Risks to children and working in the lead  
11 committee of that. Thank you.

12 **MS. RUCKART:** Thank you. Dr. Nathan Graber.

13 **DR. GRABER:** Hi, good morning. I'm Dr. Nathan  
14 Graber. I am a pediatrician in upstate New York. I  
15 practice in primary care. I have extensive experience in  
16 the field of lead exposure prevention, management and  
17 treatment of lead poisoned children. After completing my  
18 residency in pediatrics at Jacobi Medical Center in the  
19 Bronx I went on to a fellowship in pediatric environmental  
20 health at the Mt. Sinai School of Medicine. During that  
21 time, I worked with the Region II Pediatric Environmental  
22 Health Specialty Unit, and along with Dr. Joel Forman we  
23 wrote the guidelines for the New York City Department of  
24 Health and Mental Hygiene on lead exposure and pregnancy.  
25 I then joined the ad hoc CDC committee working on the

1 national guidance on the same topic.

2 Following that fellowship, I -- I oversaw  
3 environmental public health programs for the New York City  
4 Department of Health and Mental Hygiene and then following  
5 that was the Director for the New York State Department of  
6 Health Center for Environmental Health and that included  
7 the Lead Prevention and Surveillance Programs. I am, you  
8 know, incredibly grateful for the privilege to serve on  
9 the lead exposure -- on the LEPAC and also for the role  
10 that we play in reducing childhood lead exposure.

11 **MS. RUCKART:** Thank you. Karla Johnson.

12 **MS. JOHNSON:** Hi, I'm Karla Johnson. I'm with the  
13 Marion County Public Health Department, but I'm also a mom  
14 of a lead poisoned child. So, you know, I've spent many  
15 years doing this kind of work and really going to look  
16 forward to helping other people and help the CDC identify  
17 some of the risk factors and things that we've been  
18 looking at for all of this time in terms of the blood  
19 levels. My work has been in the environmental field, like  
20 I said, for the past 20 years. I've worked doing Healthy  
21 Homes-related things, but I started out as a case worker.  
22 I was a team leader. I've run a couple of grants, and I'm  
23 now the administrator of the department. So I look  
24 forward to the kind of work that I can do and be of  
25 assistance. Thank you.

1           **MS. RUCKART:** Thank you. Donna Johnson-Bailey.

2           **MS. JOHNSON-BAILEY:** Good morning. I'm Donna  
3 Johnson-Bailey. I'm with the Food and Nutrition Service  
4 at USDA; I'm a senior nutrition advisor. I think the  
5 intersections with LEPAC and the Food and Nutrition  
6 Service are most notably around the WIC program, but  
7 certainly as we administer nutrition assistance to  
8 communities throughout the country there are the  
9 intersections with how contamination can affect our food  
10 supply. I'm glad to be participating today.

11           **MS. RUCKART:** Thank you. Dr. Erika Marquez.

12           **DR. MARQUEZ:** Hi, my name is Dr. Marquez and I'm with  
13 UNLV School of Public Health. And I'm probably in a  
14 unique position and I also oversee the Nevada Childhood  
15 Lead Poisoning Prevention program. And I come with 15  
16 years of experience in looking at the implementation of  
17 programs on the ground including lead hazard control  
18 programs that really target vulnerable populations. And  
19 so I am committed to this work and I look forward to  
20 continuing to serve on this committee.

21           **MS. RUCKART:** Thank you. Dr. Howard Mielke.

22           **DR. MIELKE:** Yes. I'm at Tulane University School of  
23 Medicine and I'm in pharmacology which is in a subgroup on  
24 environmental health issues, environmental signaling.  
25 We're interested in the signal between the environment and

1 the individual as it affects their health. I've been  
2 working on lead actually since 1976. I started  
3 researching on it and I've conducted studies in several  
4 cities, cities of Minnesota and now down in Louisiana and  
5 I've been working with lead since 1988 and I'm looking at  
6 the urban ^ as it relates to children so I've been trying  
7 to coordinate the environment exposures and responses by  
8 children in the city of New Orleans. I'm very pleased to  
9 be a member -- and honored to be a member of the LEPAC  
10 committee. Thank you.

11 **MS. RUCKART:** Thank you. Dr. Anshu Mohllajee.

12 **DR. MOHLLAJEE:** Hi everyone, good morning from  
13 California. My name is Anshu Mohllajee. I'm an  
14 epidemiologist at the California Department of Public  
15 Health at the Childhood Lead Poisoning Prevention Branch.  
16 I've been in the branch since 2009 and I'm a supervisor of  
17 six of our epidemiologists and biostatisticians. We've  
18 just recently completed our strategic planning process and  
19 we've really decided to really focus on racial and health  
20 equity moving forward in our work. And so it's a pleasure  
21 to be here today. Thank you.

22 **MS. RUCKART:** Great, thank you. Dr. Jill  
23 Ryer-Powder.

24 **DR. RYER-POWDER:** Yes, good morning. I'm in  
25 California, I am a principal toxicologist at Environmental



1 Health Decisions and I'm also a toxicologist at a company  
2 called Verto Solutions. At Environmental Health Decisions  
3 I do a lot of work with human health risk assessment.  
4 I've been doing cases for lead in soil and air and  
5 exposure for about 30 years now. At Verto Solutions I  
6 look at lead contamination in food sources.

7 As a LEPAC member which, thank you very much for the  
8 honor of being able to serve on LEPAC, I'm the chairman of  
9 the Blood Lead Reference Value committee and we've been  
10 working on recommendations for the blood lead reference  
11 value. Again, thank you very much for including me in  
12 this; it's very exciting and hopefully I can help make a  
13 difference.

14 **MS. RUCKART:** Thank you. And Jana Telfer, our  
15 fantastic facilitator is joining us again.

16 **MS. TELFER:** Good morning, I'm Jana Telfer. I'm the  
17 Strategic Projects Officer for the National Center for  
18 Environmental Health and Agency for Toxic Substances and  
19 Disease Registry, and as Perri noted I have the  
20 responsibility and pleasure of facilitating the discussion  
21 portions of today's meeting.

22 **MS. RUCKART:** Thank you. And we have two LEPAC  
23 members who are unable to join us today. That's Ms. Tammy  
24 Barnhill-Proctor. She is a supervisory education program  
25 specialist with the U.S. Department of Education. We are

1 also unable to be joined by Dr. Monique Fountain-Hanna.  
2 She's a senior regional medical consultant in Maternal and  
3 Child Health Bureau, Division of Home Visiting and Early  
4 Childhood Systems.

5 And we are also lucky to have three wonderful  
6 presentations this morning and our presenters are  
7 Dr. Warren Friedman; would you like to introduce yourself?

8 **DR. FRIEDMAN:** Hello. I'm glad to introduce myself,  
9 Perri. Warren Friedman, I am the senior advisor in the  
10 HUD Office of Lead Hazard Control and Healthy Homes. My  
11 doctorate is in environmental health from the University  
12 of Cincinnati, and I am a certified industrial hygienist  
13 from the American Bar of Industrial Hygiene. My focus has  
14 been with HUD and before that with the U.S. Journal  
15 Charters Administration making the link between science  
16 research and policy implementation at HUD through rules,  
17 policy, training and guidance as well as technical  
18 assistance and outreach and finally enforcement and  
19 compliance assistance with HUD Lead Safety and other  
20 regulations. Thank you, Perri.

21 **MS. RUCKART:** Thank you. And Dr. Peter Ashley.

22 **DR. ASHLEY:** Good morning. This is Peter Ashley. I  
23 direct the Policy and Standards Division within HUD's  
24 Office of Lead Hazard Control and Healthy Homes. I've had  
25 the pleasure of working there for 25 years now. We fund

1 research on -- on lead and other Healthy Homes topics and  
2 are involved in strategic planning and policy development.  
3 And I'm happy to be with you here today. Thank you.

4 **MS. RUCKART:** Thank you. And we will also have a  
5 presentation from Dr. Katie Egan. I'm not sure if she's  
6 on yet. Katie, are you on?

7 **DR. EGAN:** Yep, I'm here. I'm Katie Egan, I'm an  
8 epidemiologist with the Lead Program at CDC and I am happy  
9 to present later today.

10 **MS. RUCKART:** Okay, great, thank you. So we are  
11 about 10 minutes ahead of schedule. We are scheduled to  
12 have Dr. Friedman talk about the Federal Lead Action Plan  
13 at 9:30. Pat and Jana, would you like that -- and Paul,  
14 would you like us to just start a little early? That way  
15 we have more time for discussion.

16 **DR. FRIEDMAN:** Perri, were you asking me a question  
17 of me?

18 **MS. RUCKART:** Yes. I generally -- I think it's good  
19 to adhere to the meeting times since these people are  
20 going to be joining us, you know, audience members just  
21 for a particular presentation. So we're 10 minutes ahead  
22 of schedule. If this were later in the day we could take  
23 a break, but since it's the beginning of the day I'm just  
24 asking if people are comfortable just starting off with  
25 our first presentation even though it's slightly ahead of

1 schedule.

2 **MR. AMMON:** I think though, this is Matt, it'd be a  
3 good idea -- we have a packed agenda so it's probably a  
4 good idea to get started.

5 **MS. RUCKART:** Okay, great.

6 **DR. FRIEDMAN:** You know what would be good, Perri?

7 **MS. RUCKART:** Matt, I apologize, I think I skipped  
8 you when we were doing the introductions.

9 **MR. AMMON:** No. I'm cleanup. That's fine.

10 **MS. RUCKART:** No. I'm so sorry.

11 **MR. AMMON:** That's quite all right.

12 **MS. RUCKART:** Please go ahead.

13 **MR. AMMON:** Not at all. Matt Ammon, I'm the Director  
14 of HUD's Office of Lead Hazard Control and Healthy Homes.  
15 I'm also grateful to serve as chair of this advisory  
16 committee and, you know, as Paul and Pat mentioned early  
17 on we have a lot to celebrate. We've done a tremendous  
18 amount of work you know over the last 25, 30 plus years,  
19 but you know none of that could have been done, none of  
20 the progress could have been made without really the  
21 collective partnerships working toward common outcomes and  
22 we've all done that and we've all, you know, really done  
23 our own part to really move all of -- of this work forward  
24 and made a tremendous amount of progress.

25 At HUD there's been a real resurgence in the funding

1 available to communities, and that really speaks to the  
2 valued impact of all of our work. But also really to the  
3 continued need of communities, I mean, focused on  
4 communities' needs and looking at what they need to do in  
5 terms of improving the quality of life for the residents  
6 and particularly children, our focus. And our focus has  
7 been on addressing the needs of communities. At the end  
8 of the day, all of our work needs to be at the local  
9 level.

10 All of our work needs to be responsive to local needs  
11 and focused on what their needs are and focused on our  
12 efforts to make sure that we're doing everything we can to  
13 reduce the barriers and support their work. So I'm proud  
14 to be here. We have a great agenda. There's a lot to  
15 talk about and I appreciate everyone's work in what you do  
16 on a regular basis and I know last year was one for the  
17 history books in terms of throwing us a loop, but  
18 everybody has been able to really come through in terms of  
19 -- of finding new ways to be collaborative and finding new  
20 ways to get stuff done and in fact I think in many ways we  
21 were over -- we were super productive certainly not having  
22 to drive into work, but it was a really great year where  
23 we've done a lot of work and a lot of ground work and no  
24 matter where you work, and I don't have a fake background,  
25 this is my laundry room.

1           So I think the gears of good government can happen  
2 anywhere, even in the laundry room. But I appreciate  
3 again everybody's work. I look forward to today's  
4 complete session and I also am grateful to my wonderful  
5 colleagues and friends, Dr. Warren Friedman and Dr. Peter  
6 Ashley who I have known for within 25 years. So I  
7 appreciate their work and, again, they -- they sit at  
8 really the center of a lot of the collective work for the  
9 agencies. Not just HUD, I mean, our reach is very broad  
10 and they really speak to how these partnerships have  
11 worked not only at the federal level but at the state and  
12 local and nonprofits. Again, all of the work that we have  
13 done together would not be where we are without the  
14 partnerships that we've had and we're very blessed to have  
15 such a great set of partnerships with you all and all of  
16 our folks who are listening on this call. We -- we count  
17 you all as -- as colleagues and partners in our endeavor.  
18 So thanks.

19           **MS. RUCKART:** Matt, please accept my sincere  
20 apologies. There's so many great benefits of being able  
21 to have a virtual meeting and one of them is not that you  
22 see people on a small screen and you don't get to see  
23 people and your notes are minimized because you have one  
24 screen. So I am so, so sorry --

25           **MR. AMMON:** No worry.

1           **MS. RUCKART:** Of course you are a very important part  
2 of this meeting and we could not do it without you. So  
3 again, I am very glad that you are here and that you are  
4 joining us and leading this effort with me.

5           **MR. AMMON:** Thanks, Perri.

6           **MS. RUCKART:** So now we're kind of right on time so  
7 let's just go into our presentation by Dr. Warren Friedman  
8 on the Federal Lead Action Plan. Thank you.

9 **FEDERAL LEAD ACTION PLAN (FLAP)**

10          **DR. FRIEDMAN:** All right. Thank you very much,  
11 Perri, and thank you Matt for the kind words there. And  
12 so now we'll switch over to the slide set and I just ask,  
13 this is the first slide show that we're doing so ask for  
14 confirmation that folks can see the set.

15          **MS. RUCKART:** Yes, I can see it. Thank you.

16          **DR. FRIEDMAN:** All right. And should I take myself  
17 off video?

18          **MS. RUCKART:** You can keep yourself on video while  
19 you're presenting if you would like. I'll leave it up to  
20 you. Thank you.

21          **DR. FRIEDMAN:** I'll -- let's take me off and that way  
22 people will just focus on the screen and then I'll come  
23 back for the questions.

24          **MS. RUCKART:** Okay, sounds good. Thank you.

25          **DR. FRIEDMAN:** Okay. Thank you. Okay. So we start

1 with the introduction which you've heard and I want to  
2 note the lead subcommittee's co-chaired by some wonderful  
3 people; be discussing that in a few minutes.

4 So let's get into it with the next slide. So the  
5 Federal Action Plan to reduce childhood lead exposures and  
6 associated impacts is too many words, so we call it the  
7 Federal Lead Action Plan for short. And it's part of the  
8 Task Force; everyone knows what the Task Force is about.  
9 EPA and HHS being the Task Force co-chairs under the  
10 Executive Order that set it up and specifically Dr. Jeanne  
11 Briskin and Dr. Paul Allwood, whom you've already heard  
12 this morning, are the ^ co-chairs. The three agencies  
13 below the two Task Force co-chair agencies plus HUD co-  
14 chair the lead subcommittee. And so we've got a good  
15 representation and Paul and Angela Hackle from EPA and I  
16 are co-chairing the subcommittee. The Action Plan is a  
17 blueprint, it's not the answer to all the problems, as we  
18 heard earlier, you know, there are lots of things still to  
19 do. But it's something we can use collectively to move  
20 forward.

21 And on the next slide we have the history of major  
22 lead activities and we have the lead paint hazards  
23 strategy back in 2000 and this was followed up by an  
24 inventory that the Task Force did in 2016. A lot of  
25 things in between but I'm just highlighting some key



1 documents and then the Lead Action Plan in 2018.

2 And on the next slide -- thank you -- we see the four  
3 goals that are part of the Action Plan structure and what  
4 I'll be doing in this presentation is going through those  
5 goals and the objectives under them and then highlighting  
6 some action that federal agencies are undertaking. So  
7 that the reduction of children's exposure to lead, the  
8 first one. Identifying kids who are exposed and improving  
9 their health outcomes, two. Communicating more  
10 effectively, three. And supporting research, and this is  
11 individual agencies as well as cross-agency  
12 collaborations.

13 Next slide. So these are what can be called  
14 motherhood and apple pie goals and the objectives on them  
15 are also motherhood and apple pies are obvious, but as I  
16 have in this slide a dozen different types of apple pie  
17 and if you want more of this there is a link at the  
18 [countryliving.com](http://countryliving.com) website for 50 more. So there are lots  
19 of ways to handle these issues and we had to come together  
20 collectively as agencies.

21 And on the next slide I note the role that the Task  
22 Force members have had. Given their different statutory  
23 missions you get different organizational cultures also,  
24 of course, different staff expertise. And so it's not  
25 that there is, obviously, a right way to do things because

1 all 17 agencies knew the obviously right way to do things,  
2 but we had to get together in an organized fashion to  
3 coalesce around our next steps. And as I mentioned  
4 earlier, we began with the inventory that was published in  
5 2016 and this inventory identified over 70 different  
6 actions that the federal agencies were taking to address  
7 childhood lead exposure and this is separate from things  
8 that are purely for adult lead exposure, things that OSHA  
9 does, things that NIOSH does that are also important, and  
10 what we found was a large number of programs that we  
11 wanted to deal with as the Children's Environmental Health  
12 Task Force. So after publishing the inventory, that's  
13 when we decided, yes. There's a lot going on, there's a  
14 reason to coordinate and organize our activities so let's  
15 put together this plan.

16 So on the next slide we see that the overview of the  
17 plan as a roadmap for looking at federal-wide actions is  
18 out there for us to use, but it's not a budget document  
19 and what this means is that agencies can't say this report  
20 published in 2018 says we should work on the XYZ project,  
21 therefore we're putting it in our budget, end of  
22 discussion. No, there's a process through the Office of  
23 Management and Budget and Congress where we have to  
24 justify each action that we want to take that's going to  
25 be in our budget and we still have to go through that.

1 But having the Action Plan out there allows agencies to  
2 say to the Office of Management and Budget and to Congress  
3 there's a context for what we're doing, it's consistent  
4 with federal approach, so we think it is helpful for the  
5 development of our annual budgets. And as you'll see in  
6 the description that follows, the federal efforts are  
7 individual as well as collaborative and we will have  
8 through our reporting on the implementation of the plan  
9 progress reports and this will start out later this year.  
10 Now, we focus on highly exposed communities; we also focus  
11 on highly exposed places and the places can be homes, they  
12 can be workplaces, and the take-home from workplaces, they  
13 can be areas near airports. There are a lot of places  
14 that are covered by our work.

15 On the next slide we see that the Action Plan has  
16 priorities, and the primary prevention priority is  
17 reducing kids' exposures. And I should say that while  
18 there's statutory focus on children under six, we're not  
19 limited to just dealing with children under six. So in  
20 some cases we have to, regulatory things, but some cases  
21 we can be broader than that, dealing with over single  
22 digit children, as well as teenagers. Our secondary  
23 prevention priority, and this links with the second goal,  
24 is identifying kids who have been exposed to lead and  
25 improving their health outcomes. Now the Action Plan of

1 course is a Federal Lead Action Plan, so it doesn't get  
2 into state, tribal, local government activities. It  
3 doesn't get into nonprofits' activities. It doesn't move  
4 into for-profits' activities. But it's something that  
5 everyone can read and use to think about partnerships that  
6 could be developed between federal and nonfederal  
7 entities, as well as partnerships among nonfederal  
8 entities.

9 In the next slide we note that the Action Plan is  
10 posted on the Task Force's website and the National  
11 Institute of Environmental Health Sciences has been  
12 maintaining that site and supporting it and I thank them  
13 for the efforts that they've undertaken to keep it current  
14 so this can be downloaded from there. And during National  
15 Lead Poisoning Prevention Week which is the last week of  
16 October -- excuse me -- last full week of October every  
17 year we will be posting an interagency progress report.  
18 At EPA has posted its status reports on its policy status  
19 reports. You don't see 2020 because the whole thing, you  
20 know, like the pandemic has disrupted our business  
21 operations just as I think it's disrupted many  
22 organizations' operations so we don't have ongoing posted  
23 reports, but we will have an overall interagency report at  
24 the end of October.

25 Now in the next slide, thank you, as I mentioned

1 we'll be discussing goals and objectives under the goals  
2 and then highlights of actions under the goals. And I'll  
3 also be reviewing some actions by several agencies, again,  
4 to prompt discussion, suggestions for participation,  
5 federal and federal nonfederal participation. These are  
6 all some enhancement of the goals and objectives  
7 implementation.

8 So now, we start going through goal one on the next  
9 slide, and each of these segments on the four goals will  
10 have a header slide like this just to serve as a  
11 separation.

12 So on the next slide we've looked at objective 1.1  
13 under goal one, of course, and this is dealing with  
14 exposures to lead-based paint hazards, and I want to start  
15 off with things that are particularly important which are  
16 rules, regulations. And the most significant up here is a  
17 pair of things that EPA has done, and I thank the agency  
18 for its accomplishments, lowering the dust lead hazard  
19 standards, and this is for floors and windowsills in  
20 target housing and pre-'78 child occupied facilities. And  
21 they also lowered earlier this year their post abatement  
22 levels for clearing projects to allow re-occupancy, again,  
23 in target housing and child occupied facilities.

24 So on the next slide we also look at some lead-based  
25 paint hazard activities. Within HUD in 2017 we changed

1 from the previous way we were dealing with things that  
2 trigger environmental intervention in HUD assisted target  
3 housing to using the approach of saying the level that CDC  
4 recommends environmental intervention is the level that we  
5 will use for taking action. So we have a link to what CDC  
6 is doing and a great interest in the blood lead reference  
7 value committee's work. Then we've also expanded the  
8 prioritization of our grant program that Matt mentioned  
9 into more explicitly and intensively focusing on high risk  
10 locations. And we've put a lot of money out on the street  
11 in the last two years and Congress has been good to us.  
12 We hope, of course, that they will react favorably when we  
13 submit our 2021 budget request.

14 Now, on the next slide. We look at some EPA  
15 activities in terms of outreach. HUD and CDC helping EPA  
16 with developing the standards that were developed before;  
17 that's part of an Office of Management and Budget  
18 coordinated interagency review process and there are other  
19 agencies not part of this group that were participating in  
20 that technical support effort. And we've trained lots of  
21 people on our rule that covers lead safety in target  
22 housing that is assisted by HUD and we train people  
23 specifically on the elevated blood lead level amendment to  
24 the rule.

25 On the next slide we switch over to drinking water as

1 the focus, and in this our EPA colleagues revised Lead and  
2 Copper Rule to change the approach from an action level to  
3 a combination of an action level on the trigger level and  
4 this is out but it is under review by the new  
5 administration's executive order on regulations that were  
6 issued late in the previous administration. So that is  
7 under way. EPA is also putting money out for the small  
8 and disadvantaged communities sectors that includes work  
9 on lead and these WIIN Act grants are certainly helping  
10 that sector of locations of places.

11 On the next slide we look at what HUD is doing in our  
12 community development block grant program. This is money  
13 that goes to communities to fix low- and moderate-income  
14 neighborhoods and we encourage them -- they have great  
15 discretion -- we encourage them to use funds for lead  
16 service line replacements. They get to decide very widely  
17 how to use their money and so we can encourage them first  
18 time and it's an eligible expense and then encourage them  
19 to do this. To EPA's credit, at the bottom, they've made  
20 a large amount of loans available, six billion in loans to  
21 states, that'll finance 12 billion in water infrastructure  
22 projects and, of course, lead will be a major part of  
23 that.

24 In the next slide, we have soil elements and here we  
25 have addressed soil-lead hazards in our grant program and

1 in the Lead Safe Housing Rule and EPA's Offices of Land  
2 and Emergency Management and ATSDR have been evaluating  
3 and managing lead as one of the contaminants at Superfund  
4 site and resource conservation and recovery act corrective  
5 action sites. So that's major effort going on. And we  
6 have been collaborating with EPA with respect to HUD as to  
7 housing that's near Superfund sites to assess risks and  
8 decide on actions and our ATSDR colleagues have used  
9 SoilSHOP at health education that's also helpful for this.

10 Now, on the next slide we switch to air -- ambient  
11 air and EPA has -- working with state and tribal air  
12 agencies, lowered by about half the number of areas that  
13 are violating the national ambient air quality standard  
14 for lead. They're also on ^ updating their integrated  
15 science assessment so they can review the lead acts, see  
16 if they might be interested in changing it or to see if  
17 keeping it as is, is appropriate. And EPA and the Federal  
18 Aviation Administration are looking at lead in aviation  
19 fuel as I mentioned because areas near airports that have  
20 prop planes have higher lead exposures. So the question  
21 is, can that be reduced? Can it be eliminated? And  
22 that's what EPA and FAA are working on collaboratively.

23 Now, on the next slide we switch to occupational  
24 sources and you've heard that from Tiffany DeFoe about the  
25 work that's under way and OSHA has published last fall in



1 the semi-annual regulatory agenda which all executive  
2 branch agencies use to say here are things that we're  
3 working on, so they are looking at the question of medical  
4 removal blood lead standards so that's part of the  
5 internal process going on and we look forward to seeing  
6 what happens in that arena. Now, NIOSH convened a lead  
7 workgroup on occupational take-home lead and the group is  
8 developing guidance for business owners, for other  
9 employers, for employees, and for families dealing with  
10 what causes lead to be taken home and then of course  
11 preventing as a primary prevention measure and addressing  
12 it as a secondary prevention measure. And so there'll be  
13 different documents with different levels of language and  
14 different focus of interests.

15 Now on the next slide we are also looking at  
16 occupational sources in terms of HUD partnering with NIEHS  
17 again in regard to the Superfund sites history, in this  
18 case the occupational safety and health training of  
19 workers.

20 Now we switch on the next slide to food and in this  
21 case FDA is of course the primary agency for this and  
22 looking at the tolerable total dietary intake level FDA is  
23 looking at revising that, same thing about increasing  
24 monitoring of foods for lead, whether to establish lead  
25 level one food maximum and participating in decreasing the

1 Codex Alimentarius general standard maximum levels for  
2 lead in food; so a number of activities.

3 Now, on the next slide we switch to cosmetics and  
4 personal care products, and in this case we have FDA is  
5 continuing to monitor the cosmetics in the country and  
6 that are brought in for lead impurities, collaborate on  
7 international programs, post results and are considering  
8 guidance for maximum lead level in cosmetics.

9 Now, we go to consumer products and the next slide  
10 discusses some Consumer Products Safety Commission  
11 activities, so they start off by enforcing their  
12 regulations and enforcing labeling requirements and as FDA  
13 has done, working internationally.

14 Now, on the next slide we go to enforcement and  
15 compliance assistance. Now, enforcement is the bad cop,  
16 compliance assistance is the good cop, and they really go  
17 together in -- in both -- in most regulatory agencies  
18 these two functions are linked. So within HUD and EPA we  
19 have a joint lead disclosure rule. We have made over  
20 200,000 housing units lead safe by settlement agreements  
21 with violative owners, and there's been over a million  
22 dollars in fines collected and this is the total for both  
23 EPA and for HUD. The two agencies plus the Department of  
24 Justice have been collaborating on administrative  
25 enforcement of lead safe housing rule and the biggest of

1 this was the New York City Housing Authority case which is  
2 a 10-year, 2-billion-dollar agreement to address lead and  
3 other issues. So we then go to the good cop side, the HUD  
4 and EPA efforts to provide compliance assistance for  
5 homes, helping owners figure out what to do and how to do  
6 it, not the enforcement side.

7 Now, we then go to goal two on the next slide and  
8 here we get to the health outcomes improvement, both  
9 primary and secondary prevention. So on the next slide  
10 objective 1 is improving surveillance of blood lead levels  
11 and the National Center for Environmental Health is  
12 evaluating as you've heard, updating the children's blood  
13 lead reference value, whether to do it and if so to what  
14 value it should be, and HUD is evaluating its grant  
15 programs, CDC is refining its health objectives.

16 On the next slide we continue with surveillance and  
17 the Center for Medicare and Medicaid Services, CDC and the  
18 U.S. Department of Agriculture are looking at blood lead  
19 testing in Medicaid and in the WIC program. CDC's  
20 conducting targeted screening surveys to focus on high  
21 lead exposure risk areas and several agencies are  
22 collaborating on understanding lead exposures with tribal  
23 partners with grant programs, with outreach programs, a  
24 number of vehicles for that.

25 On the next slide in objective 2.2 this is for

1 follow-up blood lead testing and monitoring of kids who  
2 are exposed, and we have an ATSDR Office of Children's  
3 Health Protection collaboration in supporting the PEHSUs  
4 that you've heard about and this is both increasing  
5 staffing and increasing education of the existing and new  
6 staff supporting the effort. And CDC, CMS and HUD are  
7 working with state, tribal and local communities on  
8 matching exposed kids with ways of assessing their  
9 environment and giving them health services.

10 On the next slide also within this objective 2.2 we  
11 are doing collaborative outreach and education with our  
12 grantees to let us control which are state and local  
13 governments and we also use events, mayor's challenges and  
14 other events to get the kids together to be able to test  
15 them and refer them for medical evaluation.

16 On the next slide we go into screening for  
17 developmental delays in children who are identified as  
18 lead exposed, and the National Institutes of Health  
19 Shriver Institute has been collaborating with CDC in a  
20 number of ways in terms of the areas where children have  
21 been found with higher blood lead levels, as well as  
22 encouraging primary care providers to use the CDC learn  
23 the signs act early tools.

24 On the next slide we go to facilitating referrals and  
25 in this case EPA, ATSDR, and HUD all have activities to

1 promote that effort.

2 On the next slide we start with goal three and this  
3 is communications. Now, no matter how well you  
4 communicate you can always do better so that's why this  
5 recognizes that we have been communicating but we want to  
6 do so more effectively. So first we'll start with some  
7 Task Force-wide items on the next slide in which we are  
8 enhancing the online portal that NIEHS manages and we're  
9 also -- Task Force ^ enhancing local partnerships with a  
10 wide range of groups on the hazards and to promote data  
11 sharing.

12 On the next slide also within objective 3.1 we have  
13 multi-agency partnering and outreach campaigns. So in  
14 June, next month, there's National Healthy Homes month,  
15 lead is part of that and there'll be webinars and tool  
16 kits and then at the end of October, National Lead  
17 Poisoning Prevention Week, we'll have again, tool kits and  
18 webinars. So these are lively events and take a lot of  
19 planning so I thank all of our partners in that.

20 On the next slide in objective 3.2 we are improving  
21 awareness of lead hazards and related activities and Task  
22 Force-wide we support children's centers, PEHSUs and  
23 others in developing tools and there's the -- it's always  
24 around and we're glad that it is the National Lead  
25 Information Clearing House in addition to the 800 toll

1 free number, there is the Federal Communications  
2 Commission's teletype number which is also free for those  
3 who use that teletype. HUD is pleased to support that,  
4 EPA runs it, you know, the primary element.

5 On the next slide we have some EPA activities about  
6 efforts to improve their lead paint program, the various  
7 disciplines there, and also the renovation and repair and  
8 painting program, the RRP program, so they have a lot of  
9 outreach to try to expand the availability of  
10 professionals in those categories.

11 On the next slide we note webinars that EPA has held  
12 in regard to drinking water and the lead and copper rule.  
13 Also HUD has developed curricula for National Preparedness  
14 Month and HUD, CDC and EPA updated the lead paint safety  
15 guide for maintenance work practices to be done lead  
16 safely.

17 On the next slide we switch over to goal four on  
18 research. And on the next slide we start with the  
19 prioritization of critical research and prioritization of  
20 identifying and filling data needs. And what we have Task  
21 Force-wide is enhancing tools that we have that determine  
22 the key drivers of blood lead levels from multimedia  
23 exposures and EPA is building on its multimedia modeling  
24 analysis to guide public health decision-making and  
25 another -- and a number of other methods for deciding

1 their decision-making. HUD is currently funding exposure  
2 pathway analysis using Michigan Department of Health data  
3 on various media linking that to blood lead levels.

4 On the next slide on research, again, agency-wide  
5 data, maps, mapping tools to identify high exposure  
6 communities and then start to take actions.

7 So and the next slide we -- we look at generating  
8 data to address the critical gaps in the modeling and  
9 mapping and under that identifying approaches to prevent  
10 mitigating communicate about lead exposures.

11 On the next slide we go to another Task Force-wide  
12 thing on evaluating the effectiveness of actions. We can  
13 do something up front, but is it any good, that's what we  
14 need to find out about. So evaluation is an important  
15 part of all the agencies' efforts.

16 On the next slide we have another multi-agency  
17 activity and ORD, of course, is EPA's Office of Research  
18 and Development, and we have a multi-agency research  
19 partner, research workshop that the agency partnered in  
20 December of 2019 to identify and prioritize research  
21 topics and that has been followed up by a continuing  
22 working group that includes many people who are at the  
23 meeting today to continue this effort and turn the  
24 workshop prioritizations into specifics. Now, I'm going  
25 to pat HUD on the back -- that's the privilege of being a

1 HUD speaker and describing some lead technical studies  
2 grants that we have just awarded this past year.

3 And so on the next slide we look at some of these  
4 that involve assessing cost effectiveness of evaluating  
5 long-term effectiveness of remediation, looking at ceramic  
6 tile lead levels and dust that's a continuing issue  
7 especially as dust lead levels go down in general,  
8 targeting homes, using big data and machine learning and  
9 seeing what happens if you have household members  
10 undertake lead screening of their homes.

11 On the next slide we have some more of these 2020  
12 grants looking at cost effectiveness of affecting of the  
13 measures to protect families such as temporary relocations  
14 and if the lead hazard control work in their city housing  
15 is delayed, permanent relocations, and looking at long-  
16 term outcomes with lead hazard control work of the kids in  
17 residence at the time such as we did in the 1990s and  
18 2000s with the National Evaluation for Lead Hazard Control  
19 Grant Program and also those who began to reside in those  
20 controlled units afterward which has not really been  
21 looked at. And finally, in this list looking at the lead  
22 risk index to see about targeting.

23 So on the next slide, going back to interagency  
24 collaborations we have the American Healthy Homes  
25 Survey II which we should be posting within the month and



1 this will see what has happened since the 2005, 2006 data  
2 collection of the American Healthy Homes Survey I, looking  
3 at lead hazards, elevated lead in water levels, lead  
4 service lines, the last two are new in the second survey,  
5 and as before demographic and economic associations. This  
6 helps us with environmental justice analyses. Our EPA ORD  
7 colleagues are analyzing the American Healthy Homes  
8 Survey II water samples for lead and the survey is Healthy  
9 Homes because it covers a wide range of issues and we're  
10 collaborating again with the ORD on analyses of dust  
11 residues and not just in the lab but also in interpreting  
12 the results.

13 Now, we go to the best -- saving the best for last --  
14 and this is that the last recommendation on -- on this  
15 slide. The following is the creation of the LEPAC --  
16 let's see if we can get the next slide, please. There we  
17 go so and I should note that I forgot to add in the  
18 October data at the bottom, but the recommendation of the  
19 Task Force was, yes. There's the WIIN Act saying that CDC  
20 should set up LEPAC and we saw that it was an important  
21 measure so we included it within the plan. So that's  
22 where we are and then on the next slide I have some  
23 reference information and there's the website again, and  
24 also the CDC, EPA and the HUD lead website addresses, then  
25 NLIC number, HUD's Lead Regulations hotlines for tips and

1 complaints and questions about our regulations and, of  
2 course, the 711 teletype number that can be used for any  
3 of the telephone numbers in this slide.

4 So with that, I thank you and look forward to any  
5 questions or comments that the advisory committee may  
6 have. Thank you.

7 **MS. RUCKART:** Yes, thank you Dr. Friedman. Even  
8 though I'm familiar with the Federal Lead Action Plan, I  
9 definitely learned a lot of additional details from your  
10 presentation so I appreciate that. I'll turn it over to  
11 Jana Telfer to lead the discussion portion. Thank you.

12 **MS. TELFER:** Thanks, Perri. As the Gospel writer  
13 Luke wrote more than 2,000 years ago, to whomsoever much  
14 is given, much shall also be required. So the virtual  
15 meeting gives us a great opportunity to meet face to face,  
16 however much is required if we're going to do this  
17 effectively. So even though most of us probably have  
18 plenty of practice with Zoom, please note that the raise  
19 hand item is -- icon is at the bottom next to share  
20 screen, make sure you hit raise hand instead of share  
21 screen and if you have a question, a comment or an  
22 observation for Dr. Friedman, please use the raise hand  
23 icon because that will elevate you in the listing that we  
24 have of participants. At the same time, unmute your  
25 microphone and activate your video camera, so that's three

1 actions if you have a question or comment, which we would  
2 invite now from any of the advisory members. Yes, Wallace  
3 Chambers. Please be sure to unmute and activate your  
4 video.

5 **MR. CHAMBERS:** Yes, thank you. I just had a -- and I  
6 may have missed it during the presentation, just two quick  
7 questions. Dr. Friedman, what do you perceive future  
8 funding looks like, and my second question is how often is  
9 the Federal Plan updated? Thank you.

10 **DR. FRIEDMAN:** Okay. Thank you. Good questions.  
11 The future of funding is something that we can't talk  
12 about because the budget has not been published yet. When  
13 the 2022 budget goes to the Hill and the President  
14 announces it, then we can talk about it. So I'm sorry I  
15 have to be bureaucratic in that sense, but you know I like  
16 my job. I don't want to blow it by talking about things  
17 that I'm not allowed to talk about.

18 In terms of the updating, we -- within the lead  
19 subcommittee have talked about updating the plan. It will  
20 probably be a number of years before we update it, but  
21 that's because the structure is that we can make a lot of  
22 changes at the action level. And some of which you saw  
23 and heard this morning where things were not actually  
24 printed in the Action Plan, they were implementation  
25 results; in other words as we conduct activities, we

1 recognize them. And so the plan doesn't have to be  
2 formally revised in order to continue to make progress.  
3 So it would be some years down the road and that's because  
4 it took basically about two years to put together so  
5 revising them is not something we want to do lightly. But  
6 it's always open to revisions in terms of the actions and  
7 that's easy for us to do on the Task Force. Thank you.

8 **MS. TELFER:** Thank you. Matthew Ammon, please ask  
9 your question.

10 **MR. AMMON:** Well, a question and a comment. One, you  
11 know, I know everybody here recognizes that this document  
12 took quite a long time to put together. I think any --  
13 anytime you deal with the number of agencies that we have  
14 that, you know, it took a pretty big effort which is --  
15 which was needed, you know, in terms of, not only the  
16 content, but the -- the breadth of what it entails. So  
17 you know, I -- it's great that we have this type of  
18 document to work around and use as a roadmap. One thing  
19 I'll ask Dr. Friedman, you know, so the Task Force have  
20 you -- have the members of the Task Force really commented  
21 on how the document has been used internally for their  
22 strategic planning? You know, has it -- has it been  
23 useful as a guiding document for, again, their own  
24 internal strategic planning? I'm not talking about for  
25 budget purposes, the strategic planning part, and you

1 know, are they commenting on additional things that they  
2 would ask the Task Force to go back and do in terms of --  
3 of providing, you know, updates or just additional things  
4 that -- that they are looking for to include, you know, as  
5 they work toward the next five years in terms of their  
6 strategic planning?

7 **DR. FRIEDMAN:** Thank you, Matt. The strategic  
8 planning effort within the executive branch is done in the  
9 mid-year of presidential terms. So we're currently  
10 operating under a 2018 to 2022 strategic plan as are other  
11 agencies and then we're working on developing a '22 to '26  
12 strategic plan. And at least for HUD this Federal Lead  
13 Action Plan is useful for developing the next strategic  
14 plan for the department and I think that's what is  
15 happening elsewhere. Other agencies are saying, this is a  
16 framework for us to understand what we want to do federal-  
17 wide and, in many cases, how it pertains to us  
18 specifically. So this is folding into the updated  
19 executive branch strategic plans.

20 **MR. AMMON:** Thank you.

21 **MS. TELFER:** Thank you very much. We have a couple  
22 of other hands up so we'll begin with Nathan Graber.  
23 Nathan, be sure to unmute. Thank you.

24 **DR. GRABER:** Okay. So I just want to say that I  
25 really appreciate your presentation. It's great that

1 there's such a comprehensive look at lead exposure across  
2 all communities and across all the agencies and how they  
3 respond to it.

4 **DR. FRIEDMAN:** Thank you.

5 **DR. GRABER:** So I just want to make sure. Can you  
6 see me, by the way? Can you hear me?

7 **DR. FRIEDMAN:** Yes, I can.

8 **DR. GRABER:** Okay, great. So, you know, as we know  
9 that the communities with the highest exposure of lead are  
10 also communities that have high burdens of other adverse  
11 public health outcomes and taking a comprehensive look at  
12 housing quality and social determinants of health and  
13 other factors in the community and the environment is  
14 really, really important. You mentioned in the  
15 presentation the Healthy Homes approach through one of the  
16 grant programs and I guess my first -- my first question  
17 is: Are, you know, these primary prevention efforts that  
18 you discussed during your presentation, are they -- many  
19 of them tied in with taking a comprehensive look that  
20 recognizes the importance of housing quality as a whole,  
21 you know, social and neighborhood factors that influence,  
22 you know, growth, development, help for children, not just  
23 that singular focus on lead exposure?

24 **DR. FRIEDMAN:** Okay. Thank you. I appreciate the  
25 questions. The -- the thing that we know regarding our

1 program is that it is inherently an environmental justice  
2 program. Our lead program, our Healthy Homes program. We  
3 also note using the term social determinants of health  
4 that the housing aspect of that, and it is also the urban  
5 development aspect in terms of transportation of people  
6 being able to get to healthcare, people being able to get  
7 to shopping for groceries that has good quality foods,  
8 these all play into what housing and urban development is  
9 about. And our programs are designed to promote that  
10 within the Healthy Homes framework and that's our jargon  
11 for addressing housing-related safety and health hazards,  
12 just a nice two-word conversation of that. The switching  
13 back to the Task Force, the lead subcommittee's, of  
14 course, just a subcommittee of a broadly conceived Task  
15 Force and the executive order that set it up, 13045, to  
16 deal with children with environmental health risks and  
17 safety risks, deals in modern terminology, not 1992  
18 terminology, with the range of social determinants of  
19 health, of environmental justice, of equity, and so within  
20 the Task Force all of us talk about things on other  
21 topics, whether it would be hazard disparities, chemical  
22 exposures, healthy settings. So what you're getting at is  
23 this integrated approach and that is in play. Does that  
24 help?

25 **DR. GRABER:** Yes, that's very helpful. I don't know

1 if I can ask any specific questions. I do have a couple  
2 of questions, one that kind of ties into what you're  
3 saying. I'll ask it and then the moderator can cut me off  
4 at some point. The -- one -- one of the questions, I  
5 guess I have is, you know, I'm always concerned that we  
6 have communities where there are lead exposed children  
7 that just aren't, you know, identified because they're not  
8 being tested or their environments aren't being  
9 appropriately tested and you mentioned -- you did mention  
10 Medicaid and I noticed Medicaid still has a -- a waiver  
11 ability for certain jurisdictions or geographic locations  
12 to apply for a waiver in universal testing for children  
13 who aren't covered by Medicaid and I'm wondering if -- if  
14 some thought's been given to both the testing of children,  
15 as well as testing the environment in communities where --  
16 where, you know, in the past we said, okay maybe -- maybe  
17 this isn't a community with a high level of burden of lead  
18 exposure but, you know, things have changed over the  
19 years. For instance, we've -- we've -- we're looking at  
20 lower and lower blood lead levels to define how we say  
21 someone is more exposed than anyone else. So what are  
22 your, you know, what is -- what has been looked at in --  
23 in terms of making any sort of changes and taking that  
24 kind of look?

25 **DR. FRIEDMAN:** Well, the CDC has acknowledged that --



1           excuse me, not CDC -- CMS has acknowledged that housing  
2           lead interventions may be appropriate for addressing blood  
3           lead level problems. And this health housing connection  
4           is advantageous so they put out guidance to the states in  
5           terms of implementing their Medicaid programs. And in  
6           terms of going forward, you know, the points that you're  
7           making I think are very appropriate for ensuring that they  
8           continue on the Task Force agenda that the -- well, the  
9           waiver question, obviously that's not a Task Force  
10          decision, but having that discussion across agencies I  
11          think will be beneficial so that folks can see the  
12          implications, you know, is waiver something that the  
13          current policy is addressing needs effectively and of  
14          course there's a balance that if you have an infinite  
15          amount of resources you can do everything, but you don't  
16          so how do we focus the Medicaid dollars where they're most  
17          needed. So you know I think we can follow-up within the  
18          Task Force on the points that you make -- the comments  
19          that you make are helpful. Thank you.

20                 **MS. TELFER:** You've had multiple hands pop up so if  
21                 we may, Nathan, we're going to move on and if we have time  
22                 we will come back to you. Jill Ryer-Powder, you've been  
23                 very patient. Thank you so much. Please unmute and  
24                 activate your video.

25                 **DR. RYER-POWDER:** Oh, thank you. Actually, patience

1 isn't my greatest virtue, but nonetheless I just -- I have  
2 a question in terms of people that are doing research  
3 regarding lead exposure and remediation and cognitive  
4 effects. I'm working on a couple of sites out here in  
5 California that have a lot of lead in the soil and  
6 exposure previous and current exposure to lead in soil and  
7 children that live in these areas. And remediation is  
8 currently being done, but I was wondering if there's --  
9 what's the best way to communicate with the people that  
10 are doing research in these areas to see if they're  
11 interested in -- in gathering data from these sites or  
12 getting blood levels from these children and including  
13 those in their research?

14 **DR. FRIEDMAN:** There's -- there's a balancing which  
15 is that most agencies that do research do it through  
16 competitive processes and so each agency that does that  
17 has a structure for receiving grant applications. You  
18 know, I think we could -- we on the Task Force could serve  
19 as a vehicle for any comments and suggestions that you  
20 might like. But for the most part, the specific agencies  
21 that are dealing with specific research, that's the best  
22 place to go. Now that sounds scattered, but at the same  
23 time we have the Task Force that could help forward  
24 questions and queries on this -- the ideas that you have.  
25 You know, each agency gets to decide okay, for this fiscal

1 year's grants what priorities do we have? What are we  
2 going to focus on?

3 **DR. RYER-POWDER:** Oh, okay.

4 **DR. FRIEDMAN:** And you know, in addition there are  
5 the more open-ended, the RL1 research approach that's used  
6 within NIEHS, that's another vehicle for getting  
7 interesting projects undertaken and funded.

8 **DR. RYER-POWDER:** So basically it's -- so basically I  
9 can go through -- go through the Task Force to try and --  
10 to try and set up communications between -- and -- and  
11 it's actually like the California DTSC that are running  
12 some of these sites that where they're doing remediation  
13 so maybe the best place to start is through the Task Force  
14 to try and facilitate communications in order to get this  
15 type of research -- or --

16 **DR. FRIEDMAN:** And what -- what I say is, Jill, is  
17 that the Task Force itself doesn't do research, just to  
18 reiterate an obvious point, but you know we can convey  
19 information, you know, that --

20 **DR. RYER-POWDER:** Right.

21 **DR. FRIEDMAN:** -- you know, serve as the vehicle.

22 **DR. RYER-POWDER:** Right, okay, yeah. I just want to  
23 know where to start.

24 **DR. FRIEDMAN:** Okay. It's not who you know, it's if  
25 you know somebody who knows the right person, so...

1           **DR. RYER-POWDER:** Exactly. Thank you very much. And  
2 thank you very much for your very informative  
3 presentation. I appreciate that.

4           **DR. FRIEDMAN:** Thank you.

5           **MS. TELFER:** Okay. Dr. Friedman, we have one  
6 additional question from an advisory board member. So  
7 Dr. Mielke, thank you very much for your patience, as  
8 well. Please proceed.

9           **DR. MIELKE:** Thank you. Warren, I really appreciate  
10 the overview that you have around the wide range of  
11 programs in the federal government.

12           **DR. FRIEDMAN:** Thank you, Howard.

13           **DR. MIELKE:** One area that I'm terribly concerned  
14 about is -- it's a concern within the medical community  
15 and environmental community, is the extraordinarily large  
16 amount of lead that is being used in ammunition and being  
17 distributed both within flesh of human beings, as well as  
18 in the environment. Is there any progress or any program  
19 that is looking at that?

20           **DR. FRIEDMAN:** I have to say I'm not aware of one.  
21 That doesn't mean that it doesn't exist, but what we can  
22 do within the Task Force is go back with your comment and  
23 see what information is available from relevant agencies.  
24 Obviously DOD is one source, but there may be other  
25 agencies that for DOD have looked at the residual lead

1 issue for ammunition. It did not come up in the 2016  
2 inventories, an issue in the way that you're phrasing.  
3 You know I think we've looked at firing ranges because  
4 that's an obvious, you know, internal location, but the  
5 issue that you're raising is worth following up.

6 **MS. TELFER:** All right. Thank you very much. In the  
7 interest of time, we're going to turn back to the -- the  
8 agenda and to Perri Ruckart. Just a reminder that if you  
9 did have a question or a comment, you can put that into  
10 the chat and send the message directly to people or to the  
11 group so that your comment will be on the record because  
12 we're watching that as well. Thank you. Perri.

13 **MS. RUCKART:** Thank you, Jana. Thank you, again,  
14 Dr. Friedman. Now, I'll turn it over to Dr. Peter Ashley  
15 who's going to give us a presentation on the American  
16 Healthy Homes Survey. Thank you.

17 **FINDINGS ON LEAD-BASED PAINT/HAZARDS FROM THE AMERICAN HEALTHY**  
18 **HOMES SURVEY, II**

19 **DR. ASHLEY:** Thank you, Perri. I think what I'll do  
20 -- I'll do the same thing that Warren did and I will drop  
21 the video for now and then put that back on during the Q  
22 and A so you don't get distracted by my plaid shirt. So,  
23 okay.

24 Next, please. All right. We're going to talk about  
25 -- Warren mentioned the American Healthy Homes Survey II

1 which we recently -- recently completed. That would have  
2 been in June of 2019 when the field work was completed.  
3 We're getting close to posting the -- the report on the  
4 results, but I'm going to give you a highlight -- a  
5 summary of those results today, and I'm also going to  
6 compare the results where I can to the last survey we --  
7 we did, that's the American Healthy Homes Survey I which  
8 was completed in 2006, so about 13 years between the most  
9 recent survey and that one. And then what we call the  
10 National Survey of Lead and Allergens in Housing, or  
11 NSLAH, which was completed in 2000. So about 20 years  
12 between NSLAH and AHHS II. HUD conducted a survey back in  
13 1990, but I'm not going to talk about that; the methods  
14 aren't sufficiently comparable to compare results with it.

15 So the next slide. I'll talk a little bit about  
16 survey design, not very much. Most importantly, target  
17 housing for this survey was the permanently occupied non-  
18 institutional housing in the U.S. where children can  
19 reside. So not vacation housing, not dormitories, et  
20 cetera. And that sampling frame consists of about 118  
21 million housing units in the U.S. I also wanted to  
22 mention that we included a longitudinal sample from the  
23 AHHS I Survey. So I -- a group of housing units on that  
24 survey were included in the sampling frame and one of the  
25 reasons -- or the main reason is to increase the pool of

1 pre-1978 units that were more likely to have a lead-based  
2 paint because this being a random sample we -- we select  
3 post-1978 housing, as well. And we wanted to increase the  
4 number of pre-'78 units in -- in the survey for obvious  
5 reasons.

6 Next, please. So the final sample, a little bit less  
7 than we wanted. Our goal was 800 homes and 703 were  
8 completed, 203 from the longitudinal sample and 500 new  
9 housing units. The samples collected in 37 states. We  
10 did see that it was much more difficult to recruit  
11 households in the AHHS II versus I, and that's something  
12 that's been seen by other researchers, other -- or  
13 surveyors. Maybe people are getting more distrustful of -  
14 - of government, it's hard to say why, but the response  
15 rate was 36 percent in the most recent survey versus 59  
16 percent in AHHS I. So -- so quite a difference and the  
17 response rate is the percent of eligible units that  
18 actually complete the survey in the end.

19 So the next slide we'll talk a little bit more about  
20 data collection. So this consisted of a resident  
21 questionnaire Warren mentioned that we didn't just focus  
22 on lead, although that was our -- our main focus area was  
23 lead paint and lead-based paint hazards. But also with  
24 that -- the technicians when they're in the field looked  
25 for -- for mold, musty odors, they tested smoke detectors

1 where they could get access to see if they were  
2 operational and also recorded the injury -- presence of  
3 injury hazards.

4 Next, please. So this just summarizes the  
5 environmental sampling with lead-related sampling in bold.  
6 So XRF testing for lead in paint, dust wipe samples for --  
7 for dust lead, soil sampling, and this survey was the  
8 first time we collected drinking water samples, it was --  
9 it was experimental, I guess, you could say method, where  
10 a bottle was left with the resident to take multiple sub-  
11 samples during the course of the day. So more indicative  
12 of actual exposure than a, you know, a first flush sample  
13 taken in the morning. Also vacuum dust was collected from  
14 (indiscernible) importantly, we did collaborate with EPA  
15 and that paper was published -- the one on mold Dr. Steve  
16 Vesper with ORD, was the PI on that. EPA also collected  
17 resident vacuum bag samples -- or resident vacuum bags  
18 where they -- where they could -- we -- we collected  
19 formaldehyde in air, technicians collected that while they  
20 were there and pesticide residues on the kitchen floor.

21 Next, please. So room sampling -- samples were taken  
22 from four to five rooms. If there were multiple bedrooms  
23 for instance, one would be selected randomly. Let's say  
24 there were five children's bedrooms; the preference was  
25 the child's bedroom and one would be selected randomly to



1 sample.

2 Next, please. Next slide, please. Okay. I just  
3 wanted to go over the definitions of lead-based paint  
4 hazards that were used in this survey. Of course, the  
5 definition of lead-based paint based on testing by XRF.  
6 Dust lead hazard standard, that's something to pay  
7 attention to because I'll talk about results using the  
8 previous standard and then the recently changed lead dust  
9 hazard standard. So floors went from 40 micrograms per  
10 square foot to 10, sills went from 250 to 100 micrograms  
11 per square foot. Soil -- the soil hazard has 400 ppm for  
12 -- for air, soil and children's play areas and 1200 ppm  
13 for air, soil and other areas of the yard. We used a  
14 definition for -- we refer to as significantly  
15 deteriorated paint which is based on the HUD's Lead Safe  
16 Housing Rule and so there are thresholds of paint  
17 deterioration that would be considered significant  
18 deterioration.

19 Next, please. Next, please. So let's get into the  
20 results here. This -- this slide shows you the prevalence  
21 of lead-based paint and hazards from -- from the survey.  
22 So you can see that we have about 29 million housing units  
23 with lead-based paint. The error bars show the 95 percent  
24 confidence interval around these estimates. We have about  
25 22 million with -- with a dust lead hazard that's based on

1 the new -- the current hazard standard. Eighteen million  
2 with significantly deteriorated lead-based paint, and we  
3 have 2.3 million with a soil lead hazard. Now that  
4 definition has not been updated in a -- in a long time  
5 since it was promulgated in 20- -- early 2000s so there is  
6 certainly a need there. And then I -- I added this to  
7 give some perspective on the prevalence of homes with bare  
8 soil over 200 parts per million of lead so we see a larger  
9 -- significantly larger number at 10.4 million versus  
10 those with the soil lead hazard. I think I might have  
11 misspoken so that the top bar is the number of prevalence  
12 of homes with any lead hazard, not lead-based paint, so  
13 that's 29 million. I'll get into the numbers with lead-  
14 based paint.

15 So the next slide, please. So this -- this graphic  
16 shows the prevalence of homes with lead-based paint. So  
17 the blue bars are the absolute number in -- in millions so  
18 we have a -- a central estimate is 34.6 million. You can  
19 see that hasn't changed drastically in -- in 20 years  
20 since NSLAH and you really wouldn't expect a drastic  
21 change. Houses at that pool decreases with demolition and  
22 with gut rehab, but most of the lead management that is  
23 conducted say by our -- our grant programs manages lead  
24 paint in place so we would still have lead-based paint in  
25 -- in those homes that we see with Lead Hazard Control.

1 Now, the reduction in the percentage of -- of homes with  
2 lead paint, has declined. That's -- that's a more  
3 significant decline because we're adding more new homes to  
4 the pool that don't have lead paint. So the denominator  
5 is increasing there and it's diluting, you could say, the  
6 percentage of homes with lead paint. So that's decreased  
7 from 40 percent to about 30 percent over the last 20  
8 years.

9 Next, please. So just a review, notable findings  
10 with respect to prevalence of lead-based paints, changes  
11 from the last survey from AHHS I to AHHS II, so about 15  
12 years, a statistically significant decline in the  
13 percentage of U.S. housing units with lead paint,  
14 significant reduction in the prevalence of lead paint  
15 among government supported households, significant  
16 reduction in prevalence of lead-based paint in households  
17 in poverty and in -- and in African-American households  
18 down from 45 percent to about 25 percent in this latter  
19 category.

20 Next, please. So if we move on to housing units with  
21 significantly deteriorated lead-based paint, so remember  
22 that was the definition from HUD's Lead Safe Housing Rule  
23 so this paint deterioration exceeds certain thresholds of  
24 deterioration, interior or the exterior. So you can see  
25 we're kind of -- we're going in the wrong direction here.

1 We're going from 13.6 million in NSLAH to 18.2 million in  
2 the most recent survey. And we think this really reflects  
3 the, you know, the weathering of pre-'78 housing. Maybe  
4 we have to look at the data a little bit more closely, but  
5 this -- this might be more apparent on the exterior of --  
6 of homes where we're seeing this -- but we have to take a  
7 deeper dive. But it definitely shows the need for, you  
8 know, surveillance that we need to maintain surveillance  
9 of -- of this older housing stuff and -- and try to keep  
10 up with this deterioration.

11 Next graphic we're going to look at units with dust  
12 lead hazard. So this is where you have to look at --  
13 distinguish the old dust lead standard, we haven't -- for  
14 the NSLAH survey we haven't reanalyzed that. We've been  
15 looking at the new dust lead hazard standard levels.  
16 That's why in the blue bars you just see the old -- you  
17 can see a pretty good decline in the number of housing  
18 units with dust lead hazards based on the old standard.  
19 And, of course, if you -- with a change in the standard  
20 that's -- that results in a pretty drastic increase in the  
21 number of housing units with dust lead hazards. Almost,  
22 you know, about a doubling in the most recent survey from  
23 10.6 million to about 22 million units, a little over 20  
24 percent with a dust lead hazard.

25 Next, please. So just to summarize some notable

1 findings with respect to dust lead hazards. There was a  
2 statistically significant decline in the percentage of  
3 housing units with hazards based on the old -- the  
4 previous standard between AHHS I and AHHS II from 13  
5 percent to 9 percent and also non-significant non-  
6 statistically significant declines based on the new  
7 standard. Also there was a statistically significant  
8 decline in the number of households in poverty with dust  
9 lead hazards based on the old standard. So that's --  
10 that's a question important, it does show -- signifies a  
11 decrease in exposure and, of course, this isn't the main  
12 route of exposure for children is -- is the lead and dust  
13 so this is an important finding and you do see this  
14 decrease based on the new hazard standard, as well, just  
15 not statistically significant.

16 Next, please. So this has a little bit more insight  
17 into changes in dust lead loadings between the surveys and  
18 you can see for at the median you see floors that -- that  
19 are -- they're quite low compared to the standard, well  
20 this is .9 micrograms per square foot, remember the  
21 standard now is 10 so it went from .9 to .3 over the last  
22 20 years and which is -- is significant with respect to  
23 reducing exposure. And more dramatically with -- with  
24 sills, with sill dust loadings going from 8.3 to about 2  
25 micrograms per square foot. So statistically significant

1 declines. These -- the significance in declines is from  
2 AHHS I to II. We haven't -- we haven't done this analysis  
3 from NSLAH, but looking at -- at the values that's likely  
4 to be statistically significant, as well. And if you look  
5 at the 90th percentile it's -- it's less of a change for  
6 floors -- or no change for floors and you can see, again,  
7 a pretty dramatic reduction for windowsill and dust lead  
8 loadings.

9 Next, please. So this -- this graphic shows you just  
10 a dramatic -- dramatic effect of housing age on the  
11 prevalence of dust lead hazards. So that's ^ groups  
12 housing by -- by age with floor dust -- the blue bars  
13 representing floor dust, the gray bars sill dust hazards.  
14 The lines show the percentage of housing units with  
15 hazards in these categories. But you can see the dramatic  
16 increase in hazards number and prevalence in percent as  
17 you go from post-'78 housing to pre-1940 and that's  
18 because -- and -- and the older housing it's -- it's both  
19 extent of lead-based paint so this number of surfaces on  
20 which it was used, but also the levels of lead in the  
21 paint so much higher levels of lead in -- in the paint, as  
22 well. So the most hazardous housing really is pre- --  
23 well, pre-'60 but especially pre-'40 housing.

24 Next, please. So this is looking a little bit more  
25 closely at -- at soil. You can see we did have a decline

1 in homes with soil lead hazards. This shows there was a  
2 statistically significant decline based on the mean soil  
3 lead levels that's for -- all samples so samples where  
4 there was some vegetation, some grass, as well as bare  
5 soil samples. Now this is the arithmetic mean, it's a  
6 skewed distribution so -- so it really probably should be  
7 geometric mean. Geometric mean, I don't have that, but I  
8 have the median so the 50th percentile which should  
9 represent geometric mean. That decline from 29 ppm to 24  
10 ppm, so not statistically significant but at the 90th  
11 percentile there was a significant decline. I think the  
12 next slide does show that. Where we did see statistically  
13 significant decline in the median was in the northeast and  
14 -- and samples from urbanized areas.

15 Next slide, please. So if -- if you look at the  
16 changes in bare soils above these thresholds, so 400 ppm  
17 and 200 ppm, you can see declines and a 400 you can see it  
18 was statistically significant decline and if you look at  
19 200 ppm threshold, significant, you know, decline in the  
20 numbers, statistically significant in the percentage of  
21 housing units with soil lead above these thresholds. So  
22 good -- you know, it's good to see this important in terms  
23 of reducing exposure to children.

24 Next, please. So this is a number of housing units  
25 with one or more lead-based paint hazard, again, looking

1 at -- you have to look at it using both the old lead dust  
2 standard and the new lead dust standard, just showing the  
3 change from NSLAH, so over 20 years and then over 13 years  
4 and then with the orange bars representing the new lead  
5 dust standards. Now the reason why it's not a more  
6 significant decline is because of what we've seen in homes  
7 with deteriorated lead-based paint where we actually see  
8 an increase.

9 Next, please. So this is just to summarize what  
10 we've seen with presence with respect to changes in the  
11 presence of any lead-based paint hazard, so dust, soil, or  
12 deteriorated paint. So since NSLAH about 20 years there's  
13 been a modest overall decline of 1.7 million homes with a  
14 lead-based paint hazard. But if you break that out by  
15 type of hazard, you see a more significant decline of  
16 about 5 million homes with dust lead hazards, about 4  
17 million homes with soil lead hazards, but an increase in -  
18 - in homes with deteriorated lead-based paint.

19 Importantly, there's a statistically significant  
20 decline in the number of households in poverty with --  
21 with hazard based on -- on both old dust lead standard and  
22 the new lead dust standard and in homes -- African-  
23 American households, again, with using both lead dust  
24 hazard standards. So that's a very positive result.

25 Next, please. So this, of course, homes where the



1 child under six -- this is -- where there's the greatest  
2 concern about lead exposure so this just shows changes  
3 over time in -- in households that -- at this category,  
4 again, now showing values for the old dust standard and  
5 the new dust standard. So based on our most recent survey  
6 using the new standard, it's about 3.3 million housing  
7 units that fit this -- this category.

8 Next, please. Next, please. So just quickly this  
9 overall shows you households with a lead-based paint  
10 hazard by the housing unit characteristic. We've talked  
11 about how strongly housing age is connected with the  
12 presence of a hazard; you can see that here. You see that  
13 homes in the Northeast and Midwest have significantly  
14 higher prevalence of hazards not -- and that reflects the  
15 age of the housing stock. So we're seeing, you know the  
16 same effect there. Not much difference between urbanized  
17 and non-urbanized areas, but we see a significantly high  
18 prevalence among -- in single-family versus multi-family  
19 housing. This, I think, multi-families probably newer  
20 housing stock overall, but also because it's  
21 professionally managed.

22 Next, please. So this is what we see by occupant  
23 characteristic not as dramatic. You see the greater  
24 difference based on household income so higher prevalence  
25 in the lower income households, not statistically

1 significant, a higher prevalence in homes that do not  
2 receive government support and -- and a higher prevalence  
3 in non-Hispanic households and none of these are  
4 statistically significantly different.

5 Next, please. So let's summarize the findings.

6 Next slide. So our key metrics from AHHS II, homes  
7 with lead-based paint about 35 million representing about  
8 29 percent of housing. Homes with one or more lead-based  
9 paint hazards, 29 million representing about 25 percent of  
10 housing in this sample -- in -- in our sample frame. And  
11 then hazards with -- homes where the child under six with  
12 a lead-based paint hazard as I just mentioned about  
13 3.3 million, 22 percent of homes in this category. And  
14 then households maybe representing the highest risk so  
15 households in poverty with a young child, 1.3 million  
16 representing about 30 percent of households in this  
17 category.

18 Next, please. So quickly summarizing risk factors  
19 for the presence of a hazard, older housing, single-family  
20 housing, housing in the Northeast or Midwest, occupant  
21 factors, not statistically significant, lower income, not  
22 receiving government assistance and non-Hispanic  
23 households.

24 Next, please. Statistically significant changes over  
25 the last 13 years, reduction in percent of overall housing

1 with lead-based paint, percent of lead-based paint among  
2 government supported households, among African-American  
3 households, households in poverty and a percent of  
4 households earning actually more than 35,000 per year.  
5 This is with a lead-based -- these are with lead-based  
6 paint hazards, I should point out. Let me back up, that -  
7 - that -- the first line is housing units with lead-based  
8 paint, the first two bullets are percentage of housing  
9 units with lead-based paint, the lower bullets are with  
10 lead-based paint and with lead-based paint hazards we see  
11 this reduction.

12 Next, please. Continuing with these changes,  
13 significant reduction and median dust lead loadings for  
14 floors and sills in 90th percentile dust lead loading for  
15 sills, arithmetic means soil lead concentrations, a number  
16 of housing units with bare soil greater than 200 ppm and  
17 the percentage of housing with bare soil greater than 400  
18 ppm.

19 Next, please. And then going back to 20 years what  
20 have we seen, changes, significant reductions -- and while  
21 these aren't -- these weren't tested for statistical  
22 significance, I should say, but we've seen reductions in  
23 housing units with lead-based paints, 9 percent relative  
24 decrease with lead-based paint hazards, 7 percent decrease  
25 housing units with deterioration -- significant

1 deterioration. This is where we saw the increase and  
2 that's the relative increase of 34 percent. Housing units  
3 with dust lead hazards, a decline using the old standard  
4 of 32 percent, and then housing units with lead-based  
5 paint hazard in a child under six based on the old hazard  
6 decreased by 38 percent.

7 Next, please. And that should be it. That's a lot  
8 of information, I know. I hope you were able to see the  
9 trends and catch the main findings without getting lost in  
10 the weeds; that was -- that was what I was hoping. I just  
11 wanted to acknowledge Warren Friedman, of course was a  
12 collaborator in this, and Gene Pinzer who's in my group  
13 who oversaw this survey, was the immediate manager of the  
14 survey, and then QuanTech, the contractor who implemented  
15 the survey both AHHS I and II, I really appreciate their -  
16 - their efforts in this. And I think that's it. Just the  
17 last slide I think just has my contact information and  
18 let's open things up for questions. Thank you.

19 **MS. RUCKART:** Thank you, Dr. Ashley. I have been  
20 very much looking forward to having those updated numbers.  
21 Thank you, I appreciate that. So, Jana, I'll turn it over  
22 to you for the discussion. Thank you.

23 **MS. TELFER:** All right. Thank you. And we'll begin  
24 immediately with Wallace Chambers. Just a reminder that  
25 if you do wish to ask a question or make a comment, please

1 raise your hand. All right. Wallace, please proceed.

2 **MR. CHAMBERS:** Thank you. Great presentation,  
3 Dr. Ashley. I just got a couple of questions, two quick  
4 ones. I know on one of your slides you said you tested  
5 for formaldehyde in air. Do you also test for radon; I'm  
6 just curious? Is that something you test for?

7 **DR. ASHLEY:** No. It's, you know, that's a great  
8 question. We thought about it, but because it would  
9 require, you know, leaving the sampler and then you'd  
10 really have to have the -- the household return it by mail  
11 because the technicians really couldn't go back, you know,  
12 two or three days later to the home. It would just be too  
13 difficult logistically or they might not even be in -- in  
14 the area any longer. We -- we decided that we really  
15 couldn't do that. But we do agree that there is a -- a  
16 need for that, it'd be very useful.

17 **MR. CHAMBERS:** Second quick question: As far as the  
18 reporting is concerned, is there any overlap in the  
19 reporting of the households' poverty and the African-  
20 American households? Thank you.

21 **DR. ASHLEY:** Yeah. Well, we didn't look at, you  
22 know, where -- where those came together, but of course  
23 unfortunately there's -- there's a high percentage of  
24 African-American households in poverty so I think that's  
25 why you see similar findings I think between the two

1 categories. But we certainly could look at that.

2 **MR. CHAMBERS:** Thank you.

3 **MS. TELFER:** Thank you. We'll move to Nathan Graber  
4 next, and Wallace thank you for the reminder that if you  
5 all have a follow-up question, please limit it to one and  
6 then if there are other people in queue, we'll be able to  
7 move to them. Nathan?

8 **DR. GRABER:** Okay. Thank you, Dr. Ashley. That was  
9 a terrific presentation. It's nice to see that the trend  
10 is generally moving in the right direction. I -- just a  
11 couple of quick questions about the survey. I guess, the  
12 -- the first one is pretty straightforward. What if --  
13 were there any differences between the -- the households  
14 enrolled in NSLAH versus the first survey and second  
15 survey? I didn't hear you really speak about that during  
16 the presentation because, but you did mention that there  
17 was a lower response rate the second time around. And  
18 then I was hoping you can speak a little bit more about  
19 what you -- what factors do you think had the biggest  
20 influence to explain the reductions in certain  
21 communities, did you see variabilities by communities,  
22 those sort of all integrate and tell us what -- what would  
23 be the most effective interventions going forward. Thank  
24 you.

25 **DR. ASHLEY:** Yeah. You know, we haven't really

1 looked at household characteristics going from NSLAH to  
2 AHHS II. I don't think we'll see significant differences  
3 because of the random sample, you know, you can see, of  
4 course, if you have a lot of comparisons, you'll probably  
5 see some differences, but there shouldn't be really any  
6 significant -- many significant differences but we haven't  
7 really looked at that between the first and third survey.  
8 Now, getting insight into change we -- we can't use this  
9 survey because it's a national sample. We can't use it to  
10 look at what's going on at the community level so we  
11 really don't have a -- a maybe a good grasp of why we're  
12 seeing these changes. For instance, in soil lead  
13 concentrations and in dust lead concentrations. You know,  
14 we'd like to think that our -- our Lead Hazard Control  
15 Program -- and we do think that's making a difference  
16 because we've been doing that for about quite a few years  
17 now, since what, '94 we've had these lead hazard control  
18 grants, '93, we -- we think that's enough units have been  
19 intervened in to -- to make a difference. Soil lead I  
20 think you're seeing some over time some deposition of --  
21 of -- of low lead, you know, soils on top of a lot more  
22 highly leaded soils, especially over the 20-year period.  
23 That could be -- I'm sure Dr. Mielke has some thoughts on  
24 that, but we -- we can use this to some extent to look at  
25 changes regionally, but unfortunately not at the community

1 level. Thank you.

2 **MS. TELFER:** Thank you. Dr. Allwood.

3 **DR. ALLWOOD:** Thank you. And thanks, Dr. Ashley,  
4 that was a lot of work and an excellent -- getting through  
5 so much information in such a short time. I -- I was  
6 struck by what you reported as a modest increase -- a  
7 modest decrease in the number of housing units with any  
8 lead-based paint hazards, almost 2 million. What's  
9 happening with those units? Have they just been taken out  
10 of commission? Are they -- we -- do you -- can you say  
11 something about that?

12 **DR. ASHLEY:** Well you know, in some cases there's  
13 been gut rehab of units so you don't -- you have -- no  
14 longer have lead-based paint, demolition, of course, some  
15 of the most dangerous units I think would have been  
16 demolished, very deteriorated units. Again, we -- we  
17 think that our lead -- local lead hazard control programs  
18 that are controlling hazards, mitigating hazards, I think  
19 that's one reason we're seeing decreases -- more  
20 significant decreases in homes with dust lead hazards and  
21 soil lead hazards as I mentioned and, you know,  
22 unfortunately, not -- we're actually seeing an increase in  
23 the paint lead deterioration which, you know, we think is  
24 a weathering of those -- of those pre-'78 homes. You  
25 know, since the AHHS I Survey, we did have really that



1 significant economic downturn in, what, 2008 which was  
2 really a depression and I, you know, that -- that might  
3 have affected the maintenance of a lot of homes where  
4 people weren't putting funds into keeping up with paint  
5 deterioration. So that, you know, that's a speculation,  
6 but that might be part of what we're seeing there.

7 **DR. ALLWOOD:** So would you -- would you predict any  
8 kind of future trends on that, (indiscernible), no?

9 **DR. ASHLEY:** You know, I -- I, you know, I think  
10 we'll still see the continuing decline I hope in the dust  
11 lead hazards which are, of course, the most important  
12 thing and -- and soil. Paint lead, you know, I hope that  
13 -- that number starts to decline; it's just really hard to  
14 say. You know, I don't want to -- I don't want to  
15 speculate. But it's certainly an area that needs -- needs  
16 attention. Now, maybe more with housing codes. We get at  
17 it -- that's how we can get at more -- more units, of  
18 course, housing codes, state requirements, local  
19 requirements for making homes lead safe. That's where you  
20 can get, you know, tens of thousands of homes, maybe not  
21 to the level of our lead programs, but definitely to a  
22 pretty good baseline. So we -- you know, I personally --  
23 that's what I'd really like to see. States like where I  
24 am in Maryland, have a state requirement for rental  
25 housing, making them lead safe, and that's where you

1 really get, I think, the big bang for your buck. So it  
2 would be -- be really interesting if you could look at a  
3 decline and changes in states like Maryland versus the  
4 U.S.

5 **DR. ALLWOOD:** Thank you.

6 **MS. TELFER:** We have just a couple of minutes before  
7 our scheduled break. Are there any other questions or  
8 observations from the -- from the advisory committee  
9 members? Jill Ryer-Powder.

10 **DR. RYER-POWDER:** Yeah. You know, so in California  
11 the standard or the -- the standard for lead in soil at a  
12 residential area is 80 micrograms -- or 80 -- excuse me --  
13 80 milligrams per kilogram or 80 ppm and that's based on a  
14 target blood lead level of one microgram per deciliter and  
15 then certain exposure parameters. So I was just wondering  
16 you had said the -- the standard for bare soil in play  
17 areas was 400 ppm and that for soil is 200 ppm. Do you  
18 know if those standards are based on a -- a target blood  
19 lead level or are they based on certain exposure  
20 parameters and how are they developed? Was it using the  
21 IEUBK model? Do you have information on that?

22 **DR. ASHLEY:** Well, so those were promulgated by the  
23 EPA and there would be in -- in the record there would be  
24 a regulatory impact analysis, et cetera, and they would've  
25 gone into, you know, what led to that selection. So that

1 was 400 ppm for bare soil in -- in play areas and actually  
2 for bare soil in the rest of the yard I believe it's 1200  
3 ppm. I -- I showed changes based on bare soil thresholds  
4 at 200 and 400 just because of, you know, for an FYI, but  
5 I -- I don't know the basis -- I'm sure some blood lead  
6 modeling went into it. Of course, we had a different  
7 blood lead threshold benchmark at the time that was  
8 promulgated, I think, it was 10 micrograms per deciliter  
9 at the time. But yeah, it needs to be reexamined and we -  
10 - we have been talking to EPA about that, of course, they  
11 -- they would change it through the regulatory process as  
12 they did with the dust lead hazard standard which is --  
13 which, of course, is a slow process. With our lead  
14 grantees we're looking at this because we have the ability  
15 to -- to ask them to adhere to what we could call an  
16 action level without going through a regulatory process  
17 just because they are as -- as requirement for them having  
18 our -- one of our grants, we can say, and that's what we  
19 did with the dust lead hazard, we -- we asked them to meet  
20 a lower standard a couple of years before EPA promulgated  
21 the lower standard. So I think we'll -- we're looking at  
22 maybe doing that with the soil lead, as well.

23 **DR. RYER-POWDER:** So was the dust lead lowered based  
24 on a target blood lead level of one?

25 **DR. ASHLEY:** No. I think it's safe to say it -- it

1 wouldn't have been a level of one. We, you know, we  
2 looked at -- it's kind of -- it's a cost benefit type  
3 analysis that goes into it so -- and I think the modeling  
4 is -- is when you try to model down to one, I think the  
5 models just aren't -- aren't really valid at -- at that  
6 level. They're not -- they weren't built or gated to --  
7 to model exposures down -- down to one. When we changed  
8 it, we based it on epidemiological studies and the  
9 probability of exceeding a blood lead level of 5 and, you  
10 know, I know we want to keep it -- we want to keep blood  
11 leads below 5, but there is a -- there is an aspect of  
12 discrimination in terms of setting standards so low that  
13 you no longer can -- it's more difficult to discriminate,  
14 you know, homes where there is greater -- greater hazard  
15 versus homes that have more background levels. So there's  
16 a lot that goes into it.

17 **DR. RYER-POWDER:** Right. I understand. I just -- I  
18 just want to try and understand the basis for coming up  
19 with these numbers and what are the exposure parameters  
20 they're using and what are the target lead levels they're  
21 using and so -- so, yeah, I can go to -- I can go to the  
22 EPA to try and find out that information?

23 **DR. ASHLEY:** Yeah. You can look at the data or the  
24 Federal Register when this dust lead standard was  
25 promulgated and then, you know, that -- that might have

1           been revisited in the -- in the more recent -- the  
2           lowering of the dust lead standards more recently and we  
3           could -- we could help you with that. We could see what  
4           we could find.

5           **DR. RYER-POWDER:** Great, thank you very much. And  
6           thank you very much for your presentation.

7           **DR. ASHLEY:** You're welcome. Thank you.

8           **MS. RUCKART:** Yes. Let me echo that, Jill. I really  
9           want to thank Dr. Ashley for his informative presentation.  
10          I'm very much looking forward to using the updated survey  
11          numbers. Just a couple announcements. We will be  
12          emailing out the slide deck to all of the LEPAC members  
13          and it will be posted on our website shortly and we are  
14          scheduled for a break now. Please return at 11:15 so we  
15          can pick up with our next presentation and that will be  
16          followed by the public comments. So please enjoy your  
17          break and see you at 11:15. Thank you.

18         (Break 11:07 a.m. to 11:15 a.m.)

19          **MS. RUCKART:** Okay, everybody. Welcome back from the  
20          break. Let's turn it over to Dr. Katie Egan. She's going  
21          to give us a presentation on a 40-year analysis of NHANES  
22          data. Katie.

23         **40-YEAR ANALYSIS OF NHANES DATA**

24          **DR. EGAN:** All right. Well, good morning. I'm Katie  
25          Egan, I'm an epidemiologist at -- in the Lead Program at

1 CDC. I'm going to be presenting today on an analysis that  
2 we did and then we published in Environmental Health  
3 Perspectives. It's called Blood Lead Levels in U.S.  
4 Children Ages 1 to 11 Years and then it was in 1976 to  
5 2016. I'm going to follow along with the other presenters  
6 and turn off my camera while I'm presenting and then turn  
7 it back on for questions.

8 All right. Next slide. We're going to start with a  
9 brief background. As you guys know, there is no safe  
10 level of blood lead that's been identified for children.  
11 Many factors affect how the body handles foreign  
12 substances such as lead exposure and these are going to  
13 include the source of the exposure, the length of the  
14 exposure, the child's age, their nutritional status and  
15 potentially their genetics. A blood test will measure the  
16 level of lead in the blood which can indicate their  
17 exposure.

18 Next slide. There's a number of sources -- okay.  
19 There's a number of sources of lead exposure for children  
20 in the United States. Some of these hazards were covered  
21 by previous speakers today so just bear with me. The  
22 first is lead -- deterioration of lead-based paint and  
23 lead contaminated dust in older homes and buildings and  
24 these are the most highly concentrated and significant  
25 sources of lead exposure in children.

1           Can you advance the slide to have all the bullet  
2 points, please? Thank you.

3           Lead-based paint accounts for up to 70 percent of  
4 elevated childhood blood lead levels, and these paints  
5 were banned in 1978 but as we know, generally, older  
6 houses have some lead content in their paint. The lead  
7 dust and paint chip hazards arise from friction between  
8 the interior surfaces, such as door frames and  
9 windowsills, home renovations that disturb the lead paint,  
10 and then also transport from outdoor sources such as soil  
11 and exterior paint. Lead can be transferred from surfaces  
12 to hands and then ingested by young children from their  
13 normal hand-to-mouth activity.

14          Next slide. The less common sources of lead exposure  
15 include occupational take-home exposure, lead contaminated  
16 water, traditional folk medicines and cosmetics, imported  
17 candy and candy wrappers, some imported spices, some  
18 imported toys, herbal remedies, and cookware from  
19 international manufacturers.

20          Next slide. Children are at the greatest risk of  
21 lead exposure and adverse health effects due to that  
22 exposure. Why is this? It's because children have unique  
23 behavioral factors such as mouthing and crawling that  
24 adults typically do not have. Children still have  
25 developing body systems and detoxification processes and

1 children absorb more lead per body size than adults do.  
2 Lead can permanently impair their cognitive abilities and  
3 cause other health effects. Yet often a child may not  
4 show evidence, signs or symptoms of the lead poisoning.

5 Next slide. Now we'll specifically discuss our  
6 analysis.

7 Next slide. The previous analyses have indicated  
8 that blood lead levels have declined over time in U.S.  
9 children. This has already been achieved through public  
10 health efforts and federal regulations including the  
11 removal of lead from gasoline, the ban of lead-based  
12 paint, and the ban of lead plumbing solder for residential  
13 uses. And recent high-profile events such as the Flint  
14 water crisis have highlighted ongoing sources of lead  
15 exposure in children.

16 Next slide. In this analysis, we aim to describe the  
17 distribution of blood lead levels in U.S. children ages 1  
18 to 5 years, and 6 to 11 years, by selected  
19 sociodemographic and housing characteristics over a 40-  
20 year period from 1976 to 2016. To date, there has been no  
21 comparable analyses of blood lead levels in children over  
22 this entire 40-year period.

23 Next slide. All right. What is NHANES? The  
24 National Health and Nutrition Examination Survey is a  
25 nationally representative cross-sectional survey of the



1 resident civilian non-institutionalized U.S. population.  
2 It has assessed lead exposure for the U.S. population  
3 since 1976. It is designed to monitor the nation's health  
4 and nutritional status. So prior to 1999, NHANES was  
5 conducted on a periodic basis. There was NHANES II which  
6 was from 1976 to 1980 and NHANES III, Phase I, which was  
7 1988 to 1991 -- and 19' -- a typo there so I apologize for  
8 that -- and Phase II which was 1991 to 1994. Since 1999  
9 NHANES has been conducted in two-year continuous cycles.  
10 NHANES collects venous whole blood specimens from all  
11 participants, ideally, age one year or greater.

12 Next slide. So we assessed the data from NHANES II,  
13 NHANES III Phase I, NHANES III Phase II, separately, then  
14 we grouped the continuous NHANES data cycles into four-  
15 and six-year periods for analysis. Grouping the  
16 continuous cycle data increased the number of children in  
17 each analysis group which then yielded more stable  
18 estimates. So you'll see the years of our analysis groups  
19 on the slide.

20 Next slide. We included a number of demographic  
21 characteristics. They were the age of the child, race,  
22 ethnicity, birthplace, family income to poverty ratio,  
23 health insurance coverage, Medicaid status, participation  
24 in WIC and housing age. For these variables it's very  
25 important to note that not all variables were assessed in

1 each NHANES survey cycle which is especially relevant in  
2 the older data as the variables changed and also variable  
3 definition sometimes changed over time.

4 Next slide. We also looked at the urbanization and  
5 geographic region for the NHANES survey cycle. All  
6 geography below the national level is restricted for the  
7 continuous NHANES so that's 1999 and on due to disclosure  
8 risks. Therefore for that data we assessed the data  
9 cycles' regional information at the Research Data Center.

10 Next slide. So for our method, we described the  
11 distribution of blood lead levels in U.S. children ages 1  
12 to 11 years from 1976 to 2016. For all children with  
13 valid blood lead levels, geometric means blood lead levels  
14 with 95 percent confidence intervals, an estimated  
15 prevalence greater than or equal to 5 micrograms per  
16 deciliter with their 95 percent confidence intervals were  
17 calculated overall and by the selected characteristics  
18 that we discussed. The analysis was stratified by age  
19 group for one- to five-year-olds and six- to 11-year-olds.  
20 The typical modes of lead exposure would differ between  
21 these two age groups. Blood specimens in NHANES are  
22 analyzed for their lead concentration by the Division of  
23 Laboratory Sciences, or DLS as many of us know it by at  
24 the National Center for Environmental Health. The limit  
25 of detection for blood lead decreased from two micrograms

1 per deciliter in NHANES II, so that's 1976, to 0.07  
2 micrograms per deciliter in NHANES 2013 to 2014 which is  
3 the current limit of detection as technology improved.  
4 For results below the limit of detection NHANES does  
5 impute results and they replace them with a value equal to  
6 the detection limit divided by the square root of two. So  
7 there's a few -- oh, sorry --

8 Next slide, please. A few important analysis points  
9 to note. The estimates were produced using the  
10 examination sampling weight per NHANES guidelines. We  
11 also accounted for the cluster design in estimating all  
12 variances. Prevalence estimates that had a relative  
13 standard error or RSE of the estimate that were greater  
14 than or equal to 30 percent were regarded as statistically  
15 unreliable. All results of cell count sample sizes less  
16 than 5 were suppressed due to disclosure concerns in that  
17 standard practice. And formal statistical testing for  
18 differences in blood lead levels for each variable of  
19 interest was not completed.

20 Next slide. After talking about all that, what did  
21 we find?

22 Next slide. All right. There were 27,122 children  
23 with valid blood lead levels over the selected time  
24 period. The geometric mean blood lead level in U.S.  
25 children ages one to five -- this is updated -- declined

1 from 15.2 micrograms per deciliter in 1976 through 1980 to  
2 0.83 micrograms per deciliter in 2011-2016. This  
3 represented a 94.5 percent decrease over time. For  
4 children ages six to 11, the geometric mean blood lead  
5 level declined from 12.7 micrograms per deciliter in 1976  
6 through 1980 to 0.6 micrograms per deciliter in 2011 to  
7 2016. This represents a 95.3 percent decrease over time.  
8 Higher geometric mean blood lead levels were associated  
9 with non-Hispanic, black race ethnicity, lower family  
10 income to poverty ratio and older housing age.

11 Next slide. Wrong direction. There you go. Figure  
12 1 on this slide is a graph showing the geometric mean  
13 blood lead levels that we just talked about for children  
14 ages 1 to 5 so these are the -- shown by the squares on  
15 the solid line -- and then children ages six to 11 which  
16 is shown by the circles on the dashed line. The geometric  
17 mean blood lead levels decreased in both groups as we just  
18 talked about on the previous slide from average levels in  
19 the teens to less than one microgram per deciliter over  
20 the time period.

21 Next slide. So Figure 2 is a bar graph of the  
22 estimated prevalence of blood lead levels greater than or  
23 equal to 10 micrograms per deciliter. So this is shown by  
24 the darker blue bars, and greater than or equal to 5  
25 micrograms per deciliter which is shown by the lighter

1 blue bars, among U.S. children ages 1 to 11 in this NHANES  
2 analysis. As you can see, both estimated prevalence of  
3 blood lead levels greater than 5 decreased over time.

4 Next slide. All right. This table -- Table 1  
5 presents the population estimate for total participants  
6 and participants with valid blood lead levels for each  
7 survey cycle. It also shows the estimated prevalence of  
8 blood lead levels greater than or equal to 5 and the  
9 number of children that this estimated prevalence  
10 represents by survey cycle.

11 Next slide. So drill down of that previous slide,  
12 and you can see in the red circles the estimated  
13 prevalence of blood lead levels greater than or equal to 5  
14 has decreased from 99.8 percent among one- to five-year-  
15 olds in 1976 to 1980. This represents approximately  
16 15,232,000 children to 1.3 percent in 2011 to 2016 which  
17 represents about 252,000 children. Likewise, the estimate  
18 of prevalence of blood lead levels greater than or equal  
19 to 5 has decreased from 99.7 percent among six- to 11-  
20 year-olds in 1976 to 1980 representing 20,817,000 children  
21 to half a percent in 2011 to 2016 which represents  
22 approximately 123,000 children. Even with the substantial  
23 decrease, the estimates indicate that there are  
24 approximately 385,000 children ages one to 11 who had  
25 blood lead levels greater than or equal to 5 micrograms

1 per deciliter in 2011 to 2016.

2 Next slide. Figure 3 presents the percentiles of  
3 blood lead concentration using data from each of the  
4 continuous NHANES cycle since 1999 for U.S. children ages  
5 one to five, this is shown as the solid line. And ages  
6 six to 11 which is shown as the dashed line. Percentiles  
7 shown are the 95th, 90th, 75th and 50th. So please note  
8 for this data for each survey cycle the number of children  
9 with elevated blood lead level was very small so this data  
10 should be interpreted with caution.

11 Next slide. Overall blood lead levels in U.S.  
12 children ages one to 11 years have decreased substantially  
13 over the past 40 years. It's a huge -- which is a huge  
14 public health achievement. Despite these notable declines  
15 in population exposures to lead over time and the  
16 significant progress made in reducing the number of  
17 children with elevated blood lead levels, higher geometric  
18 mean blood lead levels are consistently associated with  
19 risk factors, such as race, ethnicity, poverty and housing  
20 age. These risk factors can be used to target blood lead  
21 screening efforts. NHANES is designed to produce  
22 nationally representative generalizable results for the  
23 U.S. population and our analyses indicate that an  
24 estimated 385,000 children ages one to 11 years had blood  
25 lead levels greater than or equal to the CDC blood lead

1 reference value of 5 micrograms per deciliter in 2011 to  
2 2016.

3 Next slide. Virtually all children had blood lead  
4 levels greater than or equal to 5 micrograms per deciliter  
5 in 1976 to 1980 and in 2011-2016, the estimated prevalence  
6 of blood lead levels greater than or equal to 5 micrograms  
7 per deciliter was less than two percent of children ages  
8 one to five and less than one percent of those ages six to  
9 11. Despite this enormous public health achievement, a  
10 portion of children, particularly those with low levels --  
11 of minority and low-income background still have a higher  
12 estimated prevalence of blood lead levels greater than or  
13 equal to 5. Our results indicate that sociodemographic  
14 characteristics associated with lead exposure risk in  
15 younger children, those who are one to five, such as  
16 income level and older housing, are also risk factors for  
17 older children, six- to 11-year-olds, and that these risk  
18 -- risk factors persist over time.

19 Next slide. The analyses presented today have  
20 several limitations. The first is sample size. Despite  
21 combining multiple cycles of survey data, that the  
22 population subsample of children with valid blood lead  
23 test results is limited. We don't have the ability to  
24 conduct detailed subgroup or multi-variate analyses  
25 especially in the most recent data due to small cell sizes

1 and estimates with the relative standard error greater  
2 than 30 percent are considered to be statistically  
3 unstable. The second limitation is that NHANES cannot  
4 determine the specific sources of lead exposure for survey  
5 children as these are all cross-sectional surveys.

6 Next slide. Third limitation is there is some  
7 missing data. Over 20 percent of all children ages one to  
8 11 who are sampled in NHANES over this 40-year period were  
9 missing their blood lead levels and then also there's a  
10 potential for differential response bias in this analysis  
11 as the response rates could vary by age and age is related  
12 to lead exposure. There's also the potential for  
13 differential response bias by race.

14 Next slide. In conclusion, given the detrimental  
15 health effects and long-term impacts of lead exposure in  
16 children, creating lead safe environments for all children  
17 is critical. So we need to do continued coordinated  
18 public health efforts at national, state and local levels  
19 that can build on past achievements and provide lead safe  
20 environments for all children. If you'd like more  
21 information on our analysis and the results, please  
22 reference the full publication at the citation shown on  
23 the slide.

24 Next slide. I'd also take -- I'd like to take a  
25 moment to acknowledge my co-authors: Ms. Cheryl Cornwell,



1 Dr. Joseph Courtney, Dr. Adrienne Ettinger, for their  
2 contributions to the manuscript. We'd also like to  
3 acknowledge the Research Data Center for their help with  
4 the project, as well as the NHANES staff, the National  
5 Center for Health Statistics and the NCEH, Division of  
6 Laboratory Sciences who processed the blood lead tests.  
7 Thank you.

8 Next slide.

9 **MS. RUCKART:** Great.

10 **DR. EGAN:** Thank you so much for listening. Yep.  
11 You're good, Perri.

12 **MS. RUCKART:** Okay. Thank you, Katie. I really  
13 appreciate you sharing that noteworthy analysis with us.  
14 And we do have a few minutes for questions and discussions  
15 so I'll turn it over to Jana before we start public  
16 comment at 11:45. Thank you.

17 **MS. TELFER:** Okay. I know we have a question or two  
18 relating to the last presentation, but in the interest of  
19 parity for all of our presenters, we would first invite  
20 questions about Katie's presentation so if you have a  
21 question or a comment, please raise your hand. And then  
22 while people are gathering their thoughts, let me turn to  
23 Dr. Howard Mielke who had a question for Peter Ashley. So  
24 Peter if you are still with us, we'll wait for Howard's  
25 question.

1           **DR. MIELKE:** Peter, are you there?

2           **MS. TELFER:** I do not see Dr. Ashley in the attendee  
3 list right at the moment. So he may still be stepped  
4 away.

5           **DR. MIELKE:** I have a general question about the soil  
6 lead as it relates to dust lead, and I was wondering if  
7 there was linkages that were done in the survey between  
8 the amount of lead there was seen in the soil compared to  
9 the amount of lead showing up in the windowsills and on  
10 the floors. That has to do with the resuspension of -- of  
11 soil during very droughty periods of time. If soil is  
12 highly contaminated with lead then there is a resuspension  
13 of lead -- lead dust and that tends to be involved in the  
14 whole process of exposure. But anyway, I do have a  
15 question about the current data on blood lead levels.  
16 Have they changed at all since 2016? It's a critical  
17 issue because there have been a lot of changes in the  
18 regulations and other things. I just -- I don't have any  
19 understanding of what the current blood lead levels are.

20           **DR. EGAN:** Yes, for NHANES the -- we didn't include  
21 the 2017-2018 data because it wasn't out when we started  
22 the analysis and it came out recently. I do not know of  
23 any major changes to the data but the blood lead levels  
24 reported in the more recent data are quite low which is --  
25 which is great. So, but I have not looked at that data

1 personally.

2 **MS. TELFER:** Thank you. Are there other questions  
3 for Dr. Egan?

4 **MS. RUCKART:** We have about eight minutes to public  
5 comment and because some people may be joining  
6 specifically for that time period, I don't want to start  
7 that early. So are there any discussions about the  
8 earlier presentations from the LEPAC members or other  
9 items or points you'd like to raise? We have a few  
10 minutes for that now.

11 **MS. TELFER:** Yes, Howard. That's a great job of  
12 raising your hand.

13 **DR. MIELKE:** Well, I'm going to have to because I  
14 don't have the -- the item listed on my toolbar for  
15 whatever reason. So one of the things that we've noticed  
16 is that when you look at -- compare blood lead with soil  
17 lead across the urban environment, the blood lead levels  
18 tend to increase very rapidly when soil lead is less than  
19 100 parts per million and then there's a shift and a  
20 curve, a flex and a curve and it's a low shallow increase  
21 in blood lead as it relates to soil lead. And I think  
22 that's very important because it indicates that the lower  
23 100 parts per million there's a much larger sensitivity,  
24 the children are exquisitely sensitive to their  
25 environment and when soil lead levels are below 100 parts

1 per million there's a rapid increase in the blood leads on  
2 children in New Orleans and we've see this repeatedly and  
3 it's an important issue because it has to do, of course,  
4 with what standard we select for our soil for soil lead.  
5 The standard -- the current standard -- I was at the table  
6 when the standard was being described and discussed and it  
7 basically ended up being an economic issue for the lead  
8 industry, not a health issue for the children and I was  
9 very disappointed in that, but that's the way it ended up.

10 **MS. TELFER:** Thank you very much. Are there other  
11 comments or observations on any of the -- on Dr. Egan's  
12 talk or any of the other presentations we've heard this  
13 morning? If not, then I will hand this back to Perri and  
14 we may get another little five-minute stretch break.

15 **MS. RUCKART:** Yes. Like I said, I really would like  
16 to stick to the time on the agenda for public comment  
17 because there may be people that are joining specifically  
18 for that; we've allotted 15 minutes. Again, I'll just ask  
19 if anyone participating as a LEPAC member or who has  
20 panelist capabilities has any comments they wish to make?

21 **MS. TELFER:** Dr. Allwood.

22 **DR. ALLWOOD:** Thank you, everybody. I just ask since  
23 we have a little bit of time I -- I know there was a  
24 question earlier on Dr. Friedman's presentation, something  
25 Dr. -- Dr. Graber asked about Medicaid waiver and I -- I

1 wasn't quite clear on what the question was and I didn't  
2 quite understand if it was answered. What I gathered from  
3 what Dr. Graber was asking was, you know, should there be  
4 another look at how Medicaid, you know, what the criteria  
5 for Medicaid waivers currently are, you know, as we -- we  
6 go to lower and lower levels of lead that's a concern.  
7 So, you know, I -- I -- I hope I didn't kind of mess that  
8 up too badly, Dr. Graber, but I wonder if maybe, you know,  
9 take a minute, you know, for you to sort of explain your  
10 question again and -- and see if there's any -- any  
11 thoughts about what we might -- where we might go with  
12 that.

13 **DR. GRABER:** So -- so I think you -- you did  
14 understand what -- part of what I was asking about, which  
15 is that as we are looking at lower and lower blood lead  
16 levels over time, so going from a level of 10 to a BLRV of  
17 5 and wherever our discussion turns to this afternoon, are  
18 -- are -- are we going to identify communities that have  
19 children with lead exposure that is great enough to exceed  
20 the BLRV that are not being looked at because they're not  
21 doing universal screening in the Medicaid population of  
22 those communities. So Medicaid requires that all children  
23 who are under Medicaid get a blood lead level between age  
24 one and two at both ages. And because of multiple factors  
25 some places could apply for a waiver for that universal

1 screening requirement. And so -- so does that have to be  
2 looked at again is exactly what you were getting -- that's  
3 exactly the way you were asked the question and is exactly  
4 what I was getting at.

5 **DR. ALLWOOD:** Thank you.

6 **MS. RUCKART:** We have two minutes till public  
7 comment. Any final thoughts? Or I should say final for  
8 now. We'll have more chance for discussion this  
9 afternoon.

10 **DR. GRABER:** Yeah, so this, I mean, I always have  
11 comments, so this is Nathan, sorry. And I -- I --  
12 something was mentioned during the Lead Action Plan this  
13 morning about consumer products and FDA and lead and I'm  
14 hoping we can have some discussion this afternoon about  
15 the mechanism for identifying those products, not through  
16 lead poisoned people, but through lead contaminated  
17 products, and I don't know if there's going to be anybody  
18 in our discussions this afternoon that can help elaborate  
19 on what that process looks like and the regulatory  
20 environment for potentially lead contaminated products.

21 **MS. RUCKART:** Okay. Thank you for raising that  
22 issue. We are at 11:44 so I think we can go ahead and  
23 transition over to public comment. Jana, if you would  
24 like to introduce the public commenters. Thank you.

25 **PUBLIC COMMENT**

1           **MS. TELFER:** Sure, I would be happy to do that. We  
2 do know that both are among the attendees so they are  
3 present and accounted for. We have two people who  
4 registered to make public comments and we will be taking  
5 them in alphabetical order which also happens to be the  
6 order in which they registered ^ age order so I believe we  
7 are covered on pretty much all fronts. So first we would  
8 invite Dr. David Jacobs who is Chief Scientist for the  
9 National Center for Healthy Housing to present his comment  
10 and I believe that our support team will make sure that  
11 you are active and your microphone is activated. Dr.  
12 Jacobs.

13           **DR. JACOBS:** Hello everyone. Can you hear me?

14           **MS. RUCKART:** Yes.

15           **MS. TELFER:** Yes, sir.

16           **DR. JACOBS:** Okay, great. Well, thanks for the  
17 presentations this morning; it's always very helpful. I  
18 know you all haven't really talked about the -- the blood  
19 lead reference value yet so I guess I'll reserve comments  
20 I have for that. But I guess I just wanted to respond to  
21 a question that was raised earlier in the morning about  
22 soil lead levels and how those standards were set  
23 historically. As some of you know, I was at HUD when  
24 these standards were developed and they were -- and then  
25 they were subsequently adopted by EPA. I wanted to point

1 out that in 2009 there was a lead dust panel created for  
2 EPA Science Advisory Board, and that panel issued a report  
3 that would probably be appropriate for the, I think, it  
4 was the person from California who wanted to know about  
5 whether it should have a, you know, targeted blood lead  
6 value for the standards or whether it should be an  
7 incremental standard. The panel ultimately decided that  
8 focusing on incremental blood lead levels made more sense.  
9 That panel looked at what are called mechanistic and  
10 empirical models. And without getting too far into the  
11 technical details here, the -- the panel used a data set  
12 that was rather unique in the sense that it used NHANES  
13 data and then HUD paid for blood lead -- for dust lead  
14 samples to be collected in homes of NHANES children. And  
15 that data set was developed into a model by my group of  
16 Sherry Dixon's work, and basically that model was used to  
17 make recommendations to EPA on how to update its -- its  
18 dust lead standard way back in 2009. So there is, you  
19 know, there are different approaches that could be used.  
20 The business of whether to use a target blood lead value  
21 versus an incremental increase in blood lead level based  
22 on where you set the standard, those are two different  
23 approaches. Both agencies also looked at three issues  
24 that go into setting a regulatory standard which has to do  
25 with is it protective of health, is it feasible and is it



1 measurable. And both agencies concluded using slightly  
2 different, you know, approaches that all three of those  
3 criteria were met with the standards in the data that we  
4 had available at the time. So there was a lot that went  
5 into this -- the -- the soil and the dust standards are  
6 intertwined. Many of you know that the IEUBK model and  
7 some of the empirical models that were developed such as  
8 the pooled analysis that -- that we looked at back in the  
9 '90s, those both looked at how those -- how the soil and  
10 the dust standards are interrelated and how they affect  
11 blood lead. There are limitations in both models. The  
12 IEUBK for example doesn't have dust lead loading input and  
13 it sort of has a, you know, there's a -- a default value  
14 on the partition between how much comes from dust versus  
15 how much comes from soil that is sort of clouding the  
16 picture. So anyway I -- I think in general we -- just as  
17 the dust lead standards have -- have changed over time  
18 based on new knowledge, it's probably appropriate to think  
19 about soil lead standards as well. But they were  
20 established based on those three criteria. I guess my  
21 main point here is that they were set based on health  
22 feasibility and measurability based on -- based on, you  
23 know, real data and -- and also the combination of NHANES  
24 and -- and housing dust data and soil data. And I guess  
25 down the road we would think about whether there would be

1 ways to combine, you know, we heard a presentation from  
2 HUD's American Healthy Housing Survey, we also heard about  
3 NHANES, it would be great to think about how those two may  
4 be linked in the future going forward because I can tell  
5 you in 2009 the fact that we could do that proved to be  
6 enormously valuable in the analytical work that went into  
7 setting standards. So I thank you for the opportunity to  
8 talk to you. I -- I'm -- the National Center for Healthy  
9 Housing is always pleased to help think through what some  
10 of the analysis pinpoints might be and what some of the  
11 appropriate policies of the science might indicate going  
12 forward. So I'll look forward to the comments and -- and  
13 questions. Thank you all again and congratulations on the  
14 great work you're doing and look forward to the discussion  
15 on the blood lead reference value later on in the day.  
16 So, thank you.

17 **MS. TELFER:** Thank you very much, Dr. Jacobs. We  
18 always appreciate hearing from the public because it gives  
19 us a much-needed perspective on the work that -- that we  
20 do within the agency, as well as that done by the advisory  
21 panel. Our next comment comes from Mr. Justin Leef who is  
22 with Cloud Strategy Federal Health at Teradata Government  
23 Solutions, LLC. Justin.

24 **MR. LEEF:** Hello everyone. It's Justin Leef here. I  
25 just wanted to briefly say thank you for the time to

1 comment today. I've been tracking the LEPAC's great work  
2 over the past few years and I've been really impressed  
3 with your ability to communicate with the public.

4 My comment today is pretty short. It actually  
5 relates to a couple of the things I've heard in the short  
6 time I've been on the call this morning, about linking and  
7 exploring data. I know lead and lead poisoning can be a  
8 very complex multi-data problem. It cuts across different  
9 paradigms of HUD, FDA, CDC, EPA and other different  
10 commercial products that we've kind of heard what's  
11 contaminated products, as well. Really what it is it's --  
12 it's a persistent problem. And I would just encourage  
13 LEPAC to make sure that it engages across the different  
14 agencies represented. I know each of them have a sort of  
15 a cohort of data modernization initiative or DMI like CDC  
16 has, you know, leaders that are looking at the  
17 modernization and continued investment in interoperability  
18 and the ability to look and integrate new sources of data.  
19 So really my comment is just to continue the great work to  
20 make sure that LEPAC as it looks to explore and connect  
21 disparate data sources, engages those data modernization  
22 leaders. I know one example I heard above and just as an  
23 example, if you were able to understand from consumer  
24 products what contaminated products were sold when and  
25 where, you could then potentially target those areas for

1 increased funding potentially for Medicare and Medicaid  
2 testing of those populations where there might have been  
3 exposure to those contaminated products. So really, again  
4 in summary, thank you so much for the great work you've  
5 been doing. Lead is a persistent problem. It's been  
6 around for way longer than my 31 years on -- on the  
7 planet. But continue the great work and just encourage  
8 you to look at modernizing and making sure that the  
9 different sources of data are going to lead to insights  
10 that might help to look at the sources of contamination  
11 and also potentially inform state and local legislators  
12 and state and local enforcement to act quicker and fund  
13 screening. So those are my comments and I'll yield the  
14 time back to the group.

15 **MS. TELFER:** Thank you very much.

16 **MS. RUCKART:** Thank you.

17 **MS. TELFER:** Perri or Pat, back to you.

18 **MS. RUCKART:** Yes. Thank you. I just want to echo  
19 my thanks to Dr. Jacobs and Mr. Leef for your  
20 participation during the time we allotted for the  
21 attendees to interact with our LEPAC members. So we  
22 greatly appreciate your input and value your -- the  
23 thoughts that you shared.

24 So we are scheduled to break for lunch at 12:00. Why  
25 don't we just adjourn now. We'll get a few extra minutes

1 and we will meet back promptly at 12:30 for a discussion  
2 of the 2020 Annual LEPAC Report. So unless there's any  
3 objections I'm going to suggest that we do that now.

4 **MR. AMMON:** That's good. Thank you.

5 **MS. RUCKART:** Okay. Thank you. Enjoy your lunch and  
6 see you promptly at 12:30.

7 (Break 11:56 a.m. to 12:30 p.m.)

8 **MS. RUCKART:** Hi, everybody. It's 12:29, we'll be  
9 starting back up in just a minute. Thank you.

10 Hi, everybody. It's 12:30 so I'd like to welcome you  
11 back from lunch and turn it over to Matt Ammon to lead the  
12 discussion of the 2020 Annual LEPAC Report. Matt. Matt,  
13 are you on?

14 **MR. AMMON:** I am sorry about that.

15 **MS. RUCKART:** Yeah. That's okay. I never know if  
16 it's me or the other person because sometimes my  
17 connection gets very unstable, but I'll turn it over to  
18 you. Thank you.

19 **2020 ANNUAL LEPAC REPORT**

20 **MR. AMMON:** Yes. So I hope everybody has had an  
21 opportunity to -- to read the report. We have some time now  
22 to discuss it. And we'll -- we'll go around and -- and allow  
23 people to comment on it. In my opinion what I liked about it  
24 is it's short and sweet. Right to the point and, you know,  
25 there's no length commitment or, you know, detail; I mean, I

1 think it hits the right notes and, you know, obviously it's  
2 accurate, hits the right notes and I think it sends the right  
3 information about -- about what we've been talking about,  
4 what we've been discussing and then looking forward so -- so  
5 I think it's a -- it's a solid report to include and, you  
6 know, again, it's reflective of our work and -- and again, I  
7 can reiterate again that the brevity of it I think is  
8 important because it hits all the -- all the right points and  
9 is -- and is direct. So with that I think we could have time  
10 to go around and discuss it. If there's anything in  
11 particular that people want to raise regarding it or just  
12 general comments regarding the report.

13 **MS. RUCKART:** Matt, this is Perri. I will just say that  
14 we got a comment from Tammy, she couldn't be with us today,  
15 but it just was regarding updating her position title. So  
16 there will be that very slight change to the report. Thank  
17 you.

18 **MR. AMMON:** Do people want to raise their hand, or do we  
19 want to go around? I see Howard has his hand raised and if  
20 somebody wants to facilitate that, I guess, probably the  
21 easiest thing would be if people who have comments, questions  
22 or just general statements to allow the time for that to  
23 happen now. Howard?

24 **DR. MIELKE:** Well -- well, I do agree this is a very  
25 -- it's a good report, it's pretty much to the point and

1 the -- one of the major issues that I've faced over the  
2 years is that it's been hard to convince people that  
3 there's a problem and -- when it's -- when that's the  
4 case, it's also hard to get the instrument makers aware of  
5 the problem and try to improve the sensitivity of their  
6 equipment. And it's just a matter of once that idea is  
7 implanted in the people who are making the instruments,  
8 they'll suddenly start working hard to improve on point of  
9 care devices and other instruments which service in soil  
10 work. Now we use a handheld laboratory, ^ in the past  
11 everything had to be done by, you know, the laboratory --  
12 the laboratory and it was very time consuming. And so we  
13 have new instrumentation as a result of the need for it  
14 and I think this is exactly what we need to be doing is  
15 keep pushing according to the formula that has been  
16 developed in the past.

17 **MR. AMMON:** Thank you. Additional comments? I guess  
18 that's a good sign.

19 **DR. MIELKE:** Everybody's asleep.

20 **MR. AMMON:** Well, I guess it's a good sign. Nathan?

21 **DR. GRABER:** Yeah. I just wanted to say that I  
22 agree, I think the Annual Report does highlight the  
23 comments and recommendations made during LEPAC meetings,  
24 not just by us but by the public comments as well. It  
25 does a good job of capturing all of our general thoughts

1 as well as the specific recommendations. I, you know,  
2 it's -- I would -- I would support a motion to approve it,  
3 but I don't know if we're doing that today.

4 **MR. AMMON:** I was actually going to say the same  
5 thing. Since I didn't see any comments, I think that's a  
6 -- a good sign. And so I -- I -- I agree, you know,  
7 making a motion to approve the Annual Report I think is  
8 something we can do now unless there is any other  
9 discussion that is needed. Of course, we will be making  
10 the one additional edit, which is critical to making sure  
11 that we get all the information right, including titles  
12 and things of that nature. But not seeing any other  
13 additional comments -- and I'm not sure if I can make the  
14 motion to approve, myself.

15 **MS. RUCKART:** Matt, excuse me. I'll say one more  
16 thing, I've gotten some comments in the chat that there's  
17 some additional updates to position titles. So those are  
18 non-substantial types of comments, but we will make them.  
19 So if anyone else who didn't already alert me that your  
20 position title has changed, you can just please email me  
21 or Alexis directly and we'll capture that for the final  
22 version. Thank you.

23 **DR. GRABER:** And what's the next step in the process,  
24 like, what -- how is this document used, where does it go?

25 **MS. RUCKART:** I'm sorry. Can you please repeat that?



1 Was that for me?

2 **DR. GRABER:** I just for -- for, I guess, for Matthew  
3 or for you. It's -- so this document, what's the next  
4 step? Like, where does it go from us? Like, how is it  
5 used, where does it live, what does it do?

6 **MS. RUCKART:** Yes. So after the report is approved  
7 by the committee, we submit it to our group at CDC, our  
8 FACA office who works with HHS, and we'll submit it to the  
9 HHS Secretary. If you recall the Charter for the LEPAC  
10 requires us to have an annual report that is provided to  
11 the HHS Secretary. So this is the first step in getting  
12 that sent over to the Secretary.

13 **MR. AMMON:** That answers your question, Nathan. With  
14 that, you know, I'll make a motion to approve the report,  
15 including the additional comments that have been received  
16 by Perri that are non-substantial comments, but basically  
17 edits to titles and things of that nature. And if anybody  
18 objects to that, you can raise their hand, otherwise we  
19 can call it a unanimous approval of the report. Yes, I'm  
20 seeing that universally unanimous approval of the report.  
21 Anything additional that we need on this, Perri?

22 **MS. RUCKART:** Nothing from my end. Thank you. I  
23 believe this just wraps it up. So we are slightly ahead  
24 of schedule. How would you like to proceed because this  
25 next agenda item is I believe of great interest so I don't

1 know if there'll be people who will be joining us just at  
2 1:00 to hear that presentation. I would hate for them to  
3 miss the beginning of it, but also -- there's a lot to  
4 cover so obviously some extra time might be beneficial.  
5 What would you recommend here?

6 **MR. AMMON:** I mean, unless there are additional items  
7 that we need to go back and discuss, you know, I do think  
8 moving forward is the best course of action. Again,  
9 unless there's something that we need to go back and do or  
10 discuss or additional questions or comments, I think it's  
11 okay to proceed.

12 **MS. RUCKART:** Okay. So Jill, I'll turn it over to  
13 you. Dr. Ryer-Powder, are you ready?

14 **DR. RYER-POWDER:** I am. Yeah. Can -- can everybody  
15 hear me?

16 **MS. RUCKART:** Yes. And your presentation is showing,  
17 as well. Thank you.

18 **BLOOD LEAD REFERENCE VALUE: RECOMMENDATION TO LEPAC**

19 **DR. RYER-POWDER:** Okay, wonderful. Yeah, thank you  
20 for giving me the -- the opportunity to -- to present this  
21 information. And yeah, why don't we just go ahead and  
22 begin. If I can have the first slide, please.

23 So I am the Chairman of the Blood Lead Reference  
24 Value Committee or workgroup, and as a workgroup we've  
25 been charged to pretty much look at the existing blood

1 lead reference value and make recommendations regarding  
2 that value. So this presentation of -- pretty much walks  
3 through the report -- the recommendation report that our  
4 workgroup submitted. So everyone can get an idea of where  
5 we're going with this.

6 So the charge of the blood lead reference value  
7 workgroup, like I -- or I didn't say yet, the CDC  
8 currently uses a blood lead reference value of  
9 5 micrograms per deciliter to identify children with blood  
10 lead levels that are higher than most children. That is  
11 children with the highest 2.5 percent of blood lead  
12 levels. So the current BLRV is based on the 97.5th  
13 percentile of the NHANES blood lead distribution in  
14 children ages one through five years, using the data from  
15 2007-2008 and 2009-2010. CDC is charged with assessing  
16 this data every four years, using the two most recent  
17 survey cycles of the available data in order to determine  
18 if the blood lead reference value should be updated. So  
19 the BLRV workgroup was charged to provide recommendations  
20 for establishing or reestablishing a blood lead reference  
21 value for the CDC's National Center for Environmental  
22 Health via the Lead Exposure and Prevention Advisory  
23 Committee. So I've added all those definitions for those  
24 acronyms so when you go back and review this, you'll know  
25 what they mean.

1           If I can have the next slide, please. And I'd like  
2 to -- this is probably the most important part of the  
3 presentation -- to acknowledge the members of the BLRV  
4 workgroup who worked incredibly hard to put this  
5 recommendation together, everybody contributing their  
6 expertise to come up with the best recommendation that we  
7 could. I'll just -- I'll quickly go through -- go through  
8 the names. There's me, Jill Ryer-Powder, as the Chairman;  
9 Wallace Chambers, Nathan Graber, Bruce Lanphear, Julianne  
10 Nassif.

11           Next slide, please. Next slide, please. Amanda  
12 Reddy, Mark Werner, and I hope I don't mispronounce it,  
13 Nsedu Obot Witherspoon, and our fearless leader, Ginger  
14 Chew who is the Designated Federal Officer in the Division  
15 of Environmental Health, Science and Practice for the  
16 National Center of Environmental Health. So I'd also like  
17 to acknowledge Amanda Reddy and -- for all of her hard  
18 work -- not Amanda Reddy, I'm sorry, our -- we had -- and  
19 now I forgot their name. I'll get back with that.

20           Okay. Next slide, please. So for the progress of  
21 the BLRV workgroup, first, we've been conducting virtual  
22 meetings since October, 2020 that covered the purpose and  
23 the charge of the workgroup, decisions regarding what our  
24 final product was going to be, decisions regarding the  
25 actual recommendation and putting together the document

1 with the recommendation and supporting information. To  
2 date, we've completed that report of the recommendation  
3 and we submitted the draft report to LEPAC for their  
4 review and approval. And this was done in April, 2021.

5 Next slide, please. So the overview of the actual  
6 report, there's a purpose. Historical background, the  
7 charge of the BLRV workgroup, the current status of the  
8 blood lead reference value, the reference -- the blood  
9 lead reference value recommendations and then references  
10 to the report.

11 Next slide, please. So the purpose of the report was  
12 to define the BLRV, provide information how the BLRV is  
13 being used by CDC and other entities, present the current  
14 status of the BLRV and present the workgroup's  
15 recommendations.

16 Next slide, please. So in regards to the historical  
17 background. In the 1960s the CDC defined a threshold for  
18 child lead poisoning at greater than 60 micrograms of  
19 deciliter, so that's -- I'm sure everybody knows -- in  
20 blood. In 1967 the average childhood blood lead level in  
21 the U.S. was greater than 15 micrograms per deciliter and  
22 the maximum acceptable threshold was 40 micrograms per  
23 deciliter. So fast forward 1991, CDC reset the level of  
24 concern to greater than 10 micrograms per deciliter for  
25 children under six years old and this level remained for

1 two decades. In 2010 the CDC's Advisory Committee on  
2 Childhood Lead Poisoning Prevention recommended  
3 establishing a reference value that is the blood lead  
4 reference value of the standard for children with -- with  
5 quote/unquote "elevated blood lead levels." So the  
6 recommended BLRV should be based on nationally  
7 representative sample of children age one through five  
8 years old and it was the 97.5th percentile and it should  
9 be reevaluated every four years from the most recent  
10 NHANES Survey.

11 The next slide, please. In 2017 the National Center  
12 for Environmental Health, ATSDR, Board of Scientific  
13 Counselors, Lead Poisoning Prevention Subcommittee made a  
14 recommendation to lower the BLRV from 5 to 3.5. So that  
15 was 2017 and it should be based on NHANES data showing  
16 decreased blood lead levels in the U.S. The  
17 recommendation came in the form of a report submitted to  
18 the CDC and NCEH. The CDC/NCEH responded to the  
19 recommendation with a Federal Register notice that was  
20 reviewed by the Office of Management and Budget, or the  
21 OMB. The OMB expressed reservations about the rulemaking  
22 and provided comments to the CDC. And, to date, the BLRV  
23 has yet to be revised and currently remains at  
24 5 micrograms per deciliter.

25 So the next slide, please. Regarding the acceptance,

1 there was acceptance of certain ACCLPP recommendations by  
2 the CDC and that is to discontinue the term blood lead  
3 quote/unquote "level of concern," so do not use that term  
4 anymore, and the use of a new reference value for the  
5 identification of children with elevated blood lead  
6 levels.

7 Next slide, please. So the BLRV workgroup was  
8 established in March, 2020 under CDC's Lead Exposure and  
9 Prevention Advisory Committee, so under -- under this  
10 group. And the workgroup is composed of experts in  
11 toxicology, pediatric medicine, lead screening, lead  
12 exposure prevention, analytical chemistry and public  
13 health surveillance. The workgroup was specifically  
14 tasked with providing recommendations to the NCEH/ATSDR  
15 through LEPAC on the rationale for establishing CDC's  
16 blood lead reference value and how to define, use and  
17 update the blood lead reference value. The workgroup --  
18 we reviewed scientific publications, consulted additional  
19 experts and reached a consensus among workgroup members  
20 and composed the draft recommendation report and we met  
21 periodically and reported the findings to LEPAC.

22 Next slide, please. So our objectives were to  
23 identify and evaluate changes to effectively measuring  
24 blood lead levels, identify and evaluate the feasibility  
25 of current measurement methods to reliably measure low

1 blood lead levels and distinguish between 3.5 and  
2 5 micrograms per deciliter. Identify and evaluate the  
3 concerns about unintended consequences of lowering the  
4 blood lead reference value such as diverting resources  
5 away from high risk groups. Identify the appropriate  
6 method to determine the blood lead reference value  
7 including consideration of incremental cost benefit and  
8 propose how often the BLRV should be reviewed and updated.  
9 A lot of these objectives came from the OMB comments on  
10 the previous recommendation that I was talking about  
11 before.

12 Next slide, please. Continuation of the objectives  
13 to describe how changes in the 97.5th percentile of blood  
14 lead levels in NHANES may affect the blood lead reference  
15 value, provide expert advice and guidance on how the BLRV  
16 should be used, including the role of federal agencies and  
17 states and what the BLL should trigger -- or what blood  
18 lead level should trigger case management, provide  
19 guidance on the impact of lead programs, surveillance  
20 efforts and case management including environmental  
21 investigations and understand the role of each state in  
22 their actions associated with the blood lead reference  
23 value.

24 Next slide, please. So the current status of the  
25 blood lead reference value. As I previously said it was



1 defined in a 2012 report from the ACCLPP and a document  
2 from the President's Task Force on Environmental Health  
3 Risks and Safety to Children called the Federal Action  
4 Plan to Reduce Childhood Lead Exposure and Associated  
5 Health Impacts which we heard about earlier this morning.  
6 The Federal Action Plan document indicated that the BLLV  
7 -- the BLRV, I'm sorry, should serve as a policy tool that  
8 helps to identify the children in the upper end of the  
9 population blood lead distribution in order to target  
10 prevention efforts and evaluate their effectiveness. So  
11 the BLRV it's -- it's a statistic derived from the  
12 distribution of the concentration of lead in blood. And  
13 it is used to characterize individual results as  
14 quote/unquote "elevated or not elevated."

15 Next slide, please. And this is -- this is very  
16 important. The BLV is not a clinical reference defining  
17 an acceptable range of blood lead levels in children. It  
18 is not a health-based toxicity threshold, and it is not a  
19 predictor of the health outcome for a particular person.

20 So next slide, please. So it is intended to be used  
21 as a policy tool that helps identify the children in the  
22 upper end of the population blood lead distribution.

23 Next slide. The current value of the blood lead  
24 reference value is 5 micrograms per deciliter, and that  
25 was based on NHANES data from the 2007-2008 and 2009-2010

1 cycles. So the CDC uses a blood lead reference value of 5  
2 to identify children with blood lead levels that are much  
3 higher than most children's levels. And like I said  
4 before, this level is based on the U.S. population of  
5 children ages one through five who are the highest 2.5  
6 percent of children when tested for lead in their blood.  
7 The CDC reports the number of children with blood lead  
8 levels greater than or equal to the BLRV on their website.  
9 The total number of children tested is posted along with  
10 the prevalence of children with elevated blood lead levels  
11 and these data are posted and I -- I put a link to here in  
12 case somebody is looking at these slides and they want to  
13 find the link to that.

14 So next slide, please. The BLV is used by healthcare  
15 providers to trigger educational interventions and  
16 follow-up testing. Healthcare providers may initiate  
17 nutritional interventions, refer patients for  
18 developmental services, supply education and potentially  
19 additional items. And the BLV -- BLRV is used by some  
20 state health departments to guide case management and  
21 environmental home assessment. And -- and, again, I  
22 provide a link, if you're looking at the slides, where you  
23 can find that information.

24 So the next slide, please. So -- so here to -- to  
25 the crux of the matter, the workgroup recommendations to

1 LEPAC. The first recommendation is to adopt or revise  
2 blood lead reference value of 3.5 micrograms per deciliter  
3 based on the most recent NHANES cycles 2015-2018. Our  
4 recommendation is that LEPAC reaffirms CDC's commitment to  
5 regularly evaluating NHANES data to identify the 97.5th  
6 percentile and adopt a policy that this analysis may be  
7 used either to maintain or lower, but never raise the BLRV  
8 in the future. It should be used as a public health  
9 benchmark for all communities and jurisdictions including  
10 high risk communities. A blood lead reference value of  
11 greater than equal to 3.5 microgram per deciliter measured  
12 using a capillary sample should be followed by a  
13 confirmatory venous sample, and we want to emphasize the  
14 use of materials such as test tubes, needles, alcohol  
15 swabs, et cetera, designated for collection of blood lead  
16 samples to decrease the likelihood of contamination.

17 Next slide, please. We urge manufacturers of  
18 sampling testing equipment to implement practices that  
19 minimize the likelihood of contamination and increase  
20 sensitivity. Manufacturers of the specimen collection  
21 material should offer trace metal-free products that  
22 contribute no more than .2 micrograms per deciliter. Note  
23 that CDC's -- CDC's DLS requires no more than .1 microgram  
24 per deciliter. Laboratories and clinician practices  
25 performing the test should prescreen sampling and testing

1 materials to reduce contamination from external sources.  
2 The point of care manufacturer should improve the  
3 analytical technology to reliably measure lead at  
4 1 microgram per deciliter. And laboratories and clinical  
5 practices performing testing should implement rigorous  
6 quality management practices to minimize contamination and  
7 improve laboratory precision and accuracy for measuring  
8 lead in whole blood.

9 Next slide, please. Laboratories and clinical  
10 practices performing the testing should participate in  
11 external quality assessment programs. All positive point  
12 of contact measurements should be repeated using  
13 definitive test measurements on a vena-puncture specimen.  
14 If the blood lead level measurement is greater than or  
15 equal to 3.5, but less than 5, children should not be  
16 enrolled into case management until local jurisdictions  
17 confirm that they have the laboratory capacity to  
18 accurately report results in this range. And CDC should  
19 carry out an additional study of laboratory proficiency  
20 and capacity accompanied by educational messaging for  
21 blood lead level measurements greater than or equal to  
22 3.5 micrograms per deciliter, but less than 5 micrograms  
23 per deciliter prior to the implementation of the change in  
24 the blood lead reference value and provide -- and  
25 provision of interim guidance.

1           Next slide, please. Further, the Center for Medicare  
2 and Medicaid Service should adopt more stringent  
3 acceptance limits for lead proficiency testing recommended  
4 by the Clinical Laboratory Improvement Advisory Committee,  
5 Association of Public Health Laboratories and others. The  
6 CDC should expand outreach to the clinical and public  
7 health communities to raise awareness of the potential for  
8 exogenous contamination and provide easily accessible  
9 step-by-step training for appropriate specimen collection.  
10 The CDC should provide clear guidance to state, local,  
11 territorial and tribal health departments on how the BLRV  
12 should -- should and should not be used. And the CDC  
13 should provide translational materials aimed at explaining  
14 the sources of lead exposure, childhood lead testing, as  
15 well as the interpretation for parents and caregivers.

16           Next slide, please. The CDC should increase  
17 financial and technical support to state, local,  
18 territorial and tribal health departments and public  
19 health laboratories to enhance environmental health  
20 surveillance for childhood lead testing. And CDC should  
21 facilitate the development of a comprehensive pediatric  
22 lead screening database.

23           Next slide, please. So some guidance on how the BLV  
24 -- how the BLRV should be used. There are two purposes  
25 for the BLRV. First, to inform parents, caregivers,

1 healthcare professionals, childcare professionals, K-12  
2 school that a child exposure is higher than most other  
3 children in the U.S. And serve as a public health  
4 benchmark to determine which communities may have exposure  
5 to lead. The guidance should be used by government -- or  
6 the guidance can be used by government agencies,  
7 nongovernment agencies and other stakeholders such as  
8 school and healthcare providers.

9 Next slide, please. Regarding the communication of  
10 the blood lead reference value to states and other  
11 stakeholders, the BLRV must be communicated in a  
12 coordinated and effective manner to healthcare  
13 professionals, public health departments, parents,  
14 caregivers, childcare professionals and K-12 schools.  
15 Environmental health infrastructure, enhanced surveillance  
16 and primary and secondary prevention measures are  
17 important to identify. The response to threats of lead  
18 exposure and associated adverse health outcomes requires a  
19 strategy for targeted outreach, and it's necessary to  
20 engage partners who work directly with each range of  
21 stakeholders to assist with outreach and uptake needs.

22 Next, please. So in conclusion, the workgroup's  
23 recommendation to adopt or revise BLRV of -- that should  
24 be of, I'm sorry, 3.5 micrograms per deciliter and  
25 implement a plan to address barriers associated with

1 testing, communicating and capacity of affected agencies  
2 and stakeholders is consistent with the 2018 Federal  
3 Action Plan to Reduce Childhood Lead Exposure and  
4 Associated Health Impact Goal of reducing children's  
5 exposure to lead sources, identifying lead-exposed  
6 children and improving their health outcomes. And the  
7 recommendation of lowering the BLRV has the potential for  
8 CDC and other federal agencies to play a key role in this  
9 effort and also take steps to address and mitigate  
10 potential challenges associated with testing,  
11 communicating, and capacity constraints of current systems  
12 in technology.

13 Next slide, please. And this slide just presents the  
14 -- my contact information in case you need additional  
15 information or have any kinds of questions. Thank you  
16 very much.

17 **MS. RUCKART:** Okay. Thank you, Dr. Ryer-Powder for  
18 that much anticipated presentation. I will turn it over  
19 to Jana to lead the facilitated discussion on this. Thank  
20 you.

21 **MS. TELFER:** All right. Good afternoon. That --  
22 what a substantive amount of information to digest along  
23 with our lunches. I'm going to invite all of the LEPAC  
24 members if you would to turn on your video cameras so that  
25 we can be a little bit more personal in our discussion

1 even though we're far distant in physical location. And  
2 we'll turn first to Dr. Ammon to frame up the discussion  
3 and then we're going to go -- just to give you some  
4 anticipatory guidance -- alphabetically by first name on  
5 this first round. We invite everyone who wishes to make a  
6 comment or offer a question or make a statement about the  
7 group's report to do so and then after that round we will  
8 open it up for individual responses by the hand raising  
9 mode. So if there are any questions, let me know. You  
10 can send me a message through the chat if I have failed to  
11 be clear. Otherwise, Dr. Ammon, we'll turn to you for --  
12 to introduce our discussion today.

13 **FACILITATED DISCUSSION:**

14 **MR. AMMON:** Well first I really want to thank Jill  
15 for a great presentation, a very thorough presentation of  
16 the workgroup and very substantive you know, again, very  
17 thorough, -- of course, the question on the table and the  
18 framing of it is really whether we adopt the workgroup's  
19 recommendation of a revised BLRV at 3.5. I mean, that's  
20 it. In essence, that's what we're going to be doing is --  
21 is making a motion, you know, to adopt the workgroup's  
22 recommendation, and there are things which I heard which,  
23 you know, I haven't heard in the past. One is that, you  
24 know, this is a really complete look at all the different  
25 facets that we -- that we need to understand and to move



1 forward with the recommendation. You know, a lot of  
2 information on being considerate for measurement and  
3 guidance, and that this is indeed a policy tool for  
4 jurisdictions in moving forward in adopting this, as well.  
5 One thing I did like was, and if I'm wrong let me know,  
6 that OMB's comments were considered and addressed in this  
7 version. And I know that that's a huge part of  
8 understanding the report's content in context in terms of  
9 making sure those checks are there and making sure that we  
10 were as responsive as possible to past concerns. This  
11 isn't something which was just brought up, I mean, we've  
12 been doing this for several years now. And so it really  
13 just follows the recommendation of what was done in 2017  
14 and all the previous work. I mean, there's a legacy of  
15 work here, not just what we started last year, but several  
16 years' work of in-depth analysis and research and using  
17 data to really drive these decisions and, you know,  
18 obviously as an agency at HUD, you know, we're always very  
19 -- we've always been supportive of using NHANES to -- to  
20 make a recommendation of changing the value. We've been  
21 supportive in that because it makes such a big difference  
22 in -- to our program both in terms of Lead Hazard Control  
23 Programs, but also in terms of our ^ housing stock because  
24 it offers additional set of measures when children are  
25 identified. So it's been a very useful tool for us

1 internally, you know, as we set policy, as well. But, you  
2 know, again, I very much appreciate what I heard and the  
3 -- the working through the complexity of this issue I  
4 think has been helpful to understand but also the fact  
5 that everything was considered. You know, guidance,  
6 measurement, all these different tools and I think that's  
7 what's very, very helpful in the setting for us to  
8 internalize as we move forward in making the  
9 recommendation.

10 **MS. RUCKART:** Thank you, Matt. I just want to add  
11 that we're also joined right now by the BLRV workgroup  
12 members so if there are specific questions, the workgroup  
13 is present to respond or help clarify any issues.

14 **MR. AMMON:** Welcome everyone. Can I ask -- start by  
15 asking a question? I just want to make sure on one thing.  
16 Did I -- that I heard correctly that the previous  
17 concerns, comments from the previous version, you know,  
18 back in '17 from OMB were addressed as part of this  
19 recommendation?

20 **DR. RYER-POWDER:** They were. Yes. We -- we gathered  
21 all -- all of what we could find regarding the history of  
22 the BLRV and recommendations and documents and comments  
23 and letters and tried to incorporate everything into an  
24 overall outline of issues we had to address and then  
25 basically used everybody's specific expertise to try and

1 fill in all of that information to come up with the  
2 report.

3 **MR. AMMON:** That's it. A perfect yes answer which is  
4 what I was looking for. Because I do think that -- that  
5 in, you know, that's a big thing for me of just making  
6 sure that all those boxes were checked and all the data  
7 was provided in terms of what -- what would, you know, in  
8 the past, what was asked -- what questions were asked and  
9 making sure that all that was addressed, you know, to --  
10 to head off any other additional questions that may come  
11 up. So thank you for that. I'm glad to hear that. Thank  
12 you. And I'll pass it to Jana.

13 **MS. TELFER:** All right. Thank you. All right. Then  
14 let's move to the discussion from the group with  
15 individual comments, questions, observations, however you  
16 would like to frame your contribution. And we'll begin  
17 with Dr. Anshu Mohllajee, please.

18 **DR. MOHLLAJEE:** Hi, everyone. So thank you so much  
19 for this very comprehensive report. We've been eagerly  
20 awaiting for this from the California Department of Public  
21 Health. It's not surprising to us and also the fact that  
22 it is based on data NHANES and so the recommendation  
23 itself, I -- I don't have questions or comments about. My  
24 comments are kind of general in thinking about kind of the  
25 implementation of such a recommendation. And so partly I

1 do have an interest of how it would be, you know, rolled  
2 out and what is the time frame for that? And so that's  
3 one general question.

4 I also am wondering if -- I'm not sure if this is so  
5 much for the BLRV working group, but for CDC to really  
6 kind of think about the recommended schedule for obtaining  
7 a confirmatory venous sample. For right now it's -- it's  
8 quite broad for -- at the current reference value of 5 and  
9 eventually if -- and if it does end up being 3.5, the time  
10 frame is one to three months and that can be really  
11 difficult of getting families back in there, getting the  
12 confirmatory and that means that there is possibly a delay  
13 in services because the way that we use the reference  
14 value is -- is a way to start services, both case  
15 management, medical services, but also environmental  
16 services, as well. And so by having such a long time  
17 frame for the confirmatory sample that could affect, you  
18 know, the implementation of -- of services later on.

19 I don't know if the BLRV group needs to address this,  
20 but I am kind of interested in the thoughts about  
21 measurement of lead in filter paper. That's something  
22 that we're seeing increasingly. There are some physicians  
23 who come into California that have used that previously in  
24 other states and so kind of having some guidance around  
25 that would be appreciative. And then these have actually

1           come up in the previous conversations, you know, the dust  
2           standard has changed. But really looking -- so I actually  
3           really appreciate this, and the document of having the  
4           impact to each of the different agencies I think that was  
5           incredibly helpful and so specifically I'm speaking to the  
6           implications to EPA. And that perhaps just how the dust  
7           standard was lowered, how maybe the soil standard also  
8           needs to be looked at. And there's a little bit of  
9           addressing that in that section, but maybe -- maybe a  
10          little bit more explicitly stating that and then also  
11          maybe even talking about changing the -- the paint  
12          standard to 600 ppm. So I just wanted to throw out some  
13          ideas.

14                 Oh, and then my last comment is, sorry, I have a lot  
15          this time. You know, we know that there's no safe level  
16          of lead and having the value from 5 to possibly down to  
17          3.5 having that occurring and then not really having a  
18          sense of universal testing. So California is a state that  
19          does not have universal testing and how and what could be  
20          the implications of that. That maybe we aren't going to  
21          be getting the children that are now really at that level  
22          of exposure of 3.5 or greater. So those are all my  
23          comments. Thank you.

24                   **MS. TELFER:** Thank you very much. That's -- that's  
25          always helpful to us at the federal level to have that

1 pragmatic -- what are the implications for actual  
2 implementation in the community perspective, and so thank  
3 you for those -- those observations.

4 Just as a review for everyone, I will be watching the  
5 clock on you so, you know, about two to three minutes for  
6 each person. If you -- if you start to stray into  
7 testimonial territory, I will clear my throat or otherwise  
8 find a way to -- to let you know that it might be  
9 courteous to others to wind it up. That way we'll have  
10 plenty of time for everyone to speak and also have some --  
11 some robust and interesting discussion afterwards. Let's  
12 move to Dr. Erika Marquez.

13 **DR. MARQUEZ:** Hi. Thank you for having me, and I  
14 think I echo a lot of the comments that were just made. I  
15 appreciate the committee's work on really making it very  
16 clear how this decision was made, and I think it's an  
17 excellent -- it was really well done. It makes it easier  
18 for my job working on -- more on the ground -- on how to  
19 communicate that with providers. A couple of things that  
20 I was thinking as I was kind of going through the report,  
21 that I also appreciated that -- the division of, like,  
22 saying this is the role this agency plays and what that  
23 impact is. But I -- I think that it would be nice if we  
24 carried that through some of the other pieces where we get  
25 the public health agencies; we don't use that format

1 anymore. So we don't define here's the role of this  
2 agency and -- and the bigger picture what that impact is  
3 and I think we may be able -- even go one more step  
4 further than just impact, maybe spreading out the impact  
5 and what the action items are, right. So we'd be like  
6 here's the potential, you know, of facts of lowering the  
7 standard, but we don't really -- and some of it's already  
8 embedded in some of that narrative, but it may be nicer if  
9 we separate that so think, okay we know this is a  
10 potential impact, then this is what we know we -- we  
11 probably need to work on moving forward.

12 And I think the other recommendation that I think I  
13 have, in the public health agency section is that -- I --  
14 we don't really talk about the -- the impact in their role  
15 in having to disseminate this information, right. So how  
16 do we now take what CDC provides to us in terms of  
17 communication and then how does that look on the ground.  
18 Because I think our public health agencies are going to  
19 play a critical role in making sure, you know, we have  
20 conversations at the -- at the state and local level with  
21 our laboratories, with our providers and then with our  
22 families. This now needs to be a very diverse  
23 communication strategy on how we communicate across the  
24 board. And so I think that might be something to enhance  
25 that public health agency role.

1           Other than those comments, I think that the report  
2 was really well done and I -- I really appreciate  
3 everyone's effort. It was, I think, a useful tool for me  
4 even in my state to think about how we're going to  
5 communicate to this to all of our decision makers and all  
6 of our stakeholders. And so I appreciate that and thank  
7 you for allowing me to comment.

8           **MS. TELFER:** Thank you very much. As someone who's  
9 spent practically her entire career in communication, I  
10 particularly value and appreciate your bringing that to  
11 the fore. Let's move to Dr. Howard Mielke. And be sure  
12 to unmute.

13           **DR. MIELKE:** Okay. Yeah. I think that my comments  
14 to Dr. Ammon are going to be the same because the comments  
15 to Dr. Ammon were -- I was mistaken as to what I was  
16 commenting on. But having said that, one of the problems  
17 that I see is that surveillance is secondary prevention  
18 and the issue that I am constantly facing is that we need  
19 a good model for primary prevention and maybe built in  
20 this series is this particular approach for surveillance  
21 but also be appropriate in primary prevention using the  
22 tools -- the new tools that we have for primary  
23 prevention. And that is a continuing concern. I have  
24 used the surveillance data through the years and it's been  
25 extraordinarily important and I have no question that that



1 was a wonderful tool that made it possible to evaluate the  
2 children and their exposures versus a source that I was  
3 very interested in and, of course, that was the dust that  
4 had accumulated within urban environments that's on the  
5 soils and it's spreading around easily and so I think that  
6 we have to continue moving forward and try to figure out  
7 how to also include or develop a similar line of tools  
8 that would be primary prevention. Taking a blood lead  
9 sample doesn't necessarily mean that we've resolved the  
10 problem that is really taking place for a child and we  
11 have to figure that out. But I still admire the report  
12 and think you've done an excellent job and I really  
13 appreciate the opportunity to comment.

14 **MS. TELFER:** Thank you very much, especially for  
15 shining a light on probably the most difficult part of  
16 this, which is how do we move from what we know how to do  
17 so well to what needs to be done that we may not have all  
18 the answers for. Let's move to Ms. Jeanne Briskin,  
19 please.

20 **MS. BRISKIN:** Good afternoon. Thanks very much for  
21 this really great report. I know it took a lot of effort  
22 to -- to write such a clear and helpful document. I have  
23 comments and ideas in three specific areas.

24 First, I'd like to urge the CDC to continue to  
25 clearly describe the blood lead reference value as a point

1 at the high end of the distribution, the 97.5th percentile  
2 of children's blood lead levels. It can be used to target  
3 and track progress in reducing blood lead levels for the  
4 most highly exposed. You have a website that describes  
5 the blood lead reference value and we find that that  
6 presentation's very helpful to avoid miscommunication  
7 about the reference value.

8 The second point, is that as you know, EPA  
9 promulgates lead-based paint rules and those rules do not  
10 cross reference the blood lead reference value or require  
11 any action be taken if a child's blood lead level isn't  
12 found to exceed a blood lead reference value. But we do  
13 anticipate that reducing the blood lead reference value  
14 will lead to more environmental investigations in response  
15 to children exceeding that level which will in turn lead  
16 to more abatements that are subject to regulations. So  
17 there would be an increase of benefits but also an  
18 increase of costs associated with those regulations. So  
19 that's just something to know.

20 And then finally we appreciate that there's a short  
21 section in the report that describes EPA's role and I'd  
22 like to offer a specific edit to better describe our role  
23 and this is on pages 12-13. The first bullet there, role,  
24 at the end of the sentence it says this includes  
25 regulating lead in drinking water systems, controlling

1 exposures from air pollution at Superfund sites and  
2 ensuring that. And here's where I'd like to offer a  
3 substitution, and instead of what's there, I would propose  
4 that would say, ensuring that renovations and abatements  
5 on lead-based paint are performed by trained and certified  
6 firms and individuals that follow specific work practices  
7 to reduce lead contamination. And I'm happy to cut and  
8 paste that language into a chat box for the committee  
9 members. So thanks again for the opportunity to provide  
10 some comments.

11 **MS. TELFER:** Thank you so much. That's very helpful  
12 and thank you for referencing the chat box, as well, for  
13 those of you who may be in my age group and -- and have to  
14 be reminded to multitask. There is some information in  
15 the chat box and before we go to -- well, actually, we're  
16 going next to Jill Ryer-Powder and so I'll invite you to  
17 make your acknowledgements, as well, if you will.

18 **DR. RYER-POWDER:** I'm totally sorry. I had a brain  
19 drain at this point of the presentation. I really want to  
20 acknowledge Alexis Pullia and Laura Riley who helped out  
21 with a lot of the logistics involved with -- with  
22 coordinating the workgroup and taking notes and drafting  
23 and redrafting and redrafting and redrafting documents.  
24 So yes, thank you both for all of your help and they  
25 really did good work with this and they were instrumental

1 in helping us complete this draft report.

2 **MS. TELFER:** Anything in addition to elaborate on  
3 your presentation that you'd like to share at this point?

4 **DR. RYER-POWDER:** No, not yet. I'm just -- I'm just  
5 eagerly listening to all of the -- all of the great  
6 comments and -- and going through my mind how -- how are  
7 these comments going to be addressed. Are people going to  
8 send in their individual comments or -- I just want to  
9 understand the next step in -- in completing the draft so  
10 we can get all the comments in from all of the interested  
11 parties.

12 **MS. TELFER:** Super. So if we may then let's continue  
13 to solicit the comments and observations from your  
14 colleagues on the advisory committee and then we'll turn  
15 back to -- to the staff for any input on process and then  
16 offer another round so that you all can comment on each  
17 other's observations or additional thoughts that have  
18 occurred to you, if that's okay?

19 **DR. RYER-POWDER:** Great. Thank you. Thank you very  
20 much.

21 **MS. TELFER:** All right. Then Karla Johnson.

22 **MS. JOHNSON:** Hi. Can you hear me?

23 **MS. TELFER:** Yes, ma'am.

24 **MS. JOHNSON:** Okay, great. I'm going to try to be  
25 brief as I have a dog that's going crazy, excuse me, is

1 really being a little disruptive. I really appreciate,  
2 first of all, I appreciate all of the presentations;  
3 they've been wonderful today. And so I wanted to thank  
4 the presenters for giving that great information. I want  
5 to echo one of the comments that was made prior and about  
6 the communication and I think that, you know, there should  
7 be a really strong communication strategy. I -- I think  
8 at this and the last presentation there was -- and I  
9 forget exactly how it was put, but that we need to -- that  
10 this was not a -- first of all this is not a health-based  
11 reference or number. And I think they might have said  
12 something like we -- there's no safe level. This is not,  
13 you know, the number -- any level less than 3.5 is not  
14 okay to have. I forget exactly how that was put, but I  
15 think that's important because what I get when I'm in the  
16 community is that, oh, I don't have elevated, you know, my  
17 -- my level's fine. It's below 5, in this case. So I  
18 don't have, you know, any kind of lead poisoning or lead  
19 exposure and I think that it's really important to make  
20 sure that we get that messaging out.

21 But I want to say that most importantly I think  
22 because I -- I often -- I think we have enough people here  
23 on the -- on the -- in the committee and on the panel who  
24 approach this from a professional standpoint, but I want  
25 to offer a -- the personal standpoint. And as a -- as a

1 mother of a lead poisoned child who is now 23 years old,  
2 but as a mother of a lead poisoned child, I -- I think  
3 there needs to be a little bit more emphasis placed on  
4 creating a partnership with the caregivers or the parents.  
5 I don't often hear that, and I can say that I've also  
6 missed that as a parent even going through this myself.  
7 And that if we -- we can do all the work in the world, but  
8 this message needs to resonate with the parents and with  
9 the caregivers and then once you get them on your side,  
10 all, you know, I bet -- I would say that a majority of the  
11 -- the work is done because they will advocate and fight  
12 for their children and their loved ones harder than any  
13 one of us could ever do. And so I want to make sure that  
14 we don't forget the parents and the caregivers in this.  
15 And I speak from personal experience in that these  
16 messages never reached me. If I hadn't worked in this  
17 field, I would not have known it from this perspective.  
18 So there could be all the work in the world that's done on  
19 the outside, but you get most of your work done if you can  
20 incorporate the parents and the caregivers and those who  
21 are willing to fight far harder than we will. So thank  
22 you.

23 **MS. TELFER:** Thank you very much for that comment.  
24 I've been recently working with a group in Columbia on  
25 COVID planning and engagement of a community, especially

1 in the kinds of -- times we're living in currently is so  
2 very important because as we become more stressed, we turn  
3 to the people closest to us for information. So thank you  
4 for bringing that forward. Michael Focazio. Dr. Focazio,  
5 are you ready?

6 **DR. FOCAZIO:** Yes, sure. So I'm coming at this from  
7 a little bit different perspective because we want to make  
8 sure that the information that we provide, the science  
9 that we do supports the work that you all are engaged in.  
10 So I don't have specific comments on -- on the report. I  
11 use the report as information for myself and our  
12 colleagues at USGS to help us guide where our -- where our  
13 research needs to go next. So for example, soils, you  
14 know, has come up several times and, you know, we're going  
15 to take a look at whether or not we want to do more --  
16 revisit our national soils mapping, do more work with  
17 soils maybe more closely related to some of the specific  
18 topics that -- that have come up. The same thing with --  
19 with water, drinking water, especially in rural areas  
20 where there is no lead and copper rule for people who own  
21 their own wells. You know, there are aspects that we look  
22 at from reports like this that I take back to USGS. So  
23 I'm -- I'm more interested in that process question which  
24 is when is this going to be released and when can we  
25 distribute it to our own colleagues?

1           **MS. TELFER:** Thank you very much for calling the  
2 question. We'll move next, if we may, to Dr. Nathan  
3 Graber.

4           **DR. GRABER:** Hi. So I'm on the BLRV workgroup and I  
5 appreciate all the comments; as a matter of fact, I really  
6 appreciate all the comments. We had a lot of discussion  
7 over, I don't remember how many months it's been, it's  
8 been quite a few months we've been working on this, and I  
9 do want to acknowledge a couple of the comments that were  
10 already made and put my support behind them.

11           One of the biggest challenges with rolling out the  
12 BLRV in the past was how that's understood by all the  
13 stakeholders who are involved and it -- even as we get to  
14 this point now, some of the jurisdictions are just  
15 adopting the BLRV which was -- was brought forth and  
16 presented ten years ago. And with that, ten years comes a  
17 lot of experience and I think Anshu's comments really come  
18 to the point which is, what's -- what's the right way to  
19 use this BLRV, to communicate about this BLRV, in  
20 particular, looking at some of the local health department  
21 responses and maybe there's a lot to be learned about  
22 taking an approach which is rich -- risk-based and -- and  
23 not -- not definitive, you know, or determinant and the  
24 same for every single -- every single -- elevated blood --  
25 every single child with elevated blood lead levels. But



1 I'm -- there's -- I'm, I guess, in the -- in the document  
2 we don't -- I don't know if we make that specific  
3 recommendation that it's more of a general recommendation  
4 that CDC takes a very close look at how it should be  
5 implemented by the local health departments and using  
6 that, you know, that experience from -- from the local  
7 level to -- to guide what should be done going forward.  
8 So I, you know, as I said, I'm on the BLRV workgroup so I  
9 don't really have more comments on the document. We -- we  
10 agreed that it was ready to come forward today to LEPAC so  
11 I look forward to hearing more from the other LEPAC  
12 members and then others who have comments afterwards.

13 **MS. TELFER:** Super. Thank you very much. Let's turn  
14 next to Ms. Tiffany DeFoe.

15 **MS. DEFOE:** Hi. Thank you. So in general  
16 (...technical audio difficulty...)

17 **MS. RUCKART:** Excuse me Tiffany. You're really  
18 breaking up.

19 **MS. TELFER:** Tiffany, you're slowing down quite a  
20 bit.

21 **MS. DEFOE:** Am I still breaking up?

22 **MS. TELFER:** Yes. So you may want to switch off your  
23 video for this portion to see if that helps with -- with  
24 ease of the sound.

25 **MS. DEFOE:** How's this?

1           **MS. TELFER:** Superb. So sorry not to see you, but  
2 your voice is coming through so much better. It's a --  
3 it's a bitter choice on our part.

4           **MS. DEFOE:** No, this is a better way for sure. So  
5 anyway, just generally congratulations on an excellent  
6 report, very thorough and easy to follow. The specific  
7 comments that I have relate to the recommendation that --  
8 to facilitate development of the comprehensive childhood  
9 lead screening database. I wanted to suggest on -- on  
10 this topic that if and when we get there to please  
11 consider ways to provide or plan for collection of  
12 information that the occupations of adults in the  
13 household when -- when elevated blood lead levels are  
14 found in children, as well as information that could be  
15 used to easily link that information in the childhood lead  
16 screening database with adult blood lead screening  
17 information such as the ABLES database or even consider  
18 creating a unified database. And, you know, what -- what  
19 this can do is -- is help to support the possibility of  
20 use of the database by OSHA, for one, or NIOSH, for  
21 targeted interventions and support if -- if it can be  
22 identified that take-home lead is an issue. Already data  
23 sharing I know you use between some state health  
24 departments and some OSHA regions to share data collected  
25 by state health departments on adults with elevated blood

1 lead with OSHA has been really successful in helping to  
2 identify and provide support or intervention in work  
3 places where take-home is found to be an issue. And  
4 informally my understanding is that, you know, collection  
5 of information on occupations of adults in situations  
6 where children are found to have elevated blood lead  
7 levels is kind of spotty and usually only done if there  
8 isn't already like a -- like if they find deteriorating  
9 lead-based paint, that's sort of the end of the line for  
10 the questioning is what I've heard in some -- some cases.  
11 And so creating sort of a, you know, an entry in a unified  
12 database or just emphasize on the need to collect  
13 occupational information could help provide a more  
14 complete picture of what the different sources of lead  
15 might be in a child's home. Thank you.

16 **MS. TELFER:** Thank you very much. That's -- that's  
17 important insight to have to think about. And finally,  
18 we'll turn to Wallace Chambers, if we may.

19 **MR. CHAMBERS:** Yeah, that happens when your name  
20 begins with W, you kind of go at the end. I don't really  
21 have much to add because I was on the workgroup as well  
22 with Jill and Nathan, but I do want to say I agree with a  
23 lot of the points, that we must understand the  
24 implications, the messaging and the resources, especially,  
25 the local health departments, what this means as far as

1 capacity is concerned because a lot of the local health  
2 departments struggle doing risk assessments when it's at  
3 ten so if it gets any lower, how many health departments  
4 will have that capacity, and then you gotta also  
5 understand impact as we heard in the earlier presentations  
6 on low-income neighborhoods and people of color. So  
7 that's all I wanted to add. Thanks.

8 **MS. TELFER:** Thank you very much. And I assure you  
9 I'm empathetic with the last name of Telfer, all of the  
10 best food was always gone by the time they got to the Ts.  
11 My only saving grace was I was not a Ziggler because there  
12 was nothing left by the time my poor classmate got there.

13 **MS. RUCKART:** My maiden name is Zeitz, so I can  
14 definitely sympathize.

15 **MS. TELFER:** As we all try to move up in the  
16 alphabet. Matthew, do you want to do any additional  
17 comments at this point and then we could open it up for --  
18 for people to -- to just raise their hands and reflect  
19 spontaneously? We have ample time. We -- we are well  
20 ahead of schedule for this conversation.

21 **MR. AMMON:** Yeah. I have a bunch of notes here, and  
22 it is nice to have a last name A. I -- I always sat in  
23 the front row; I wasn't sure if I really liked that. But  
24 I -- I -- just kind of during process-wise. So -- so,  
25 obviously, we tasked the BLRV workgroup to provide

1 recommendations for either keeping the current reference  
2 value or to establish or reestablish a new revised  
3 reference value. That recommendation will come to us and  
4 then we will approve or not approve that and then that  
5 whole thing is wholesaled to CDC then for disposition and  
6 consideration.

7           You know, our -- our task is and our scope is really  
8 that narrow, that adopting or not at the recommendation  
9 from -- from the workgroup and moving that to CDC. So I  
10 just want to make that clear that it's a very, very  
11 focused objective that we have today that we need to work  
12 on. A couple of other things is that, you know, I think  
13 it's important to -- and I know this was brought up before  
14 about coordinating messaging, and I think that's really  
15 important, especially when it comes to parents and others  
16 in making sure that we have consistent messaging among  
17 agencies since I know that there's, you know, the term  
18 lead poisoned child means a lot and it has a -- a  
19 distinctive stigma to it and so I think we need to be  
20 very, very careful about that reference value and -- and  
21 what that means in being -- making sure, again, that we  
22 coordinate the right messaging between all the agencies.  
23 And -- and then, again, the reference value to me is -- is  
24 a policy tool, you know, this has -- this has guidance,  
25 right, guidance that we give to states and jurisdictions

1 and, again, they're free to use it to develop their own  
2 implementation. It's not a regulatory tool; it's a policy  
3 tool and I think I just want to make that clear that it is  
4 real guidance, not anything more than that.

5 One additional thing I want to respond to Jeanne in  
6 terms of costs. So in terms of the additional costs, you  
7 know, it's actually the timing of this is perfect because  
8 the -- the amount of increased budget that we had to  
9 support jurisdictions around the country that are doing  
10 lead hazard control work has gone from about 120 million a  
11 year to well over 300 a year -- 300 million a year. And  
12 so and we're talking about dollars that have increased  
13 from one, two, three million per grant up to nine million  
14 dollars per grant for our high risk areas. So it's --  
15 it's not to say that resources and -- and capital is not  
16 available now to do that work; now it's actually a matter  
17 of -- of how jurisdictions can either get the money, we've  
18 lowered the burden to actually access that capital, but  
19 then how they implement those funds. And so this actually  
20 comes at a perfect time because now jurisdictions have a  
21 -- a pretty sizable amount of money plus the Medicaid  
22 money we talked about before that actually do this and  
23 implement this. And focus on, you know, doing the work  
24 that needs to be done that we've been raising here so it  
25 comes really all at a perfect time in terms of dollars

1 available to jurisdictions and that looks like that trend  
2 will continue for some time. So I don't think that is  
3 really an issue that -- that we will need to contend with.  
4 But just wanted to raise those three things, just in  
5 general. Again, I'm very happy with what I've heard from  
6 -- from everyone. It sounds very supportive which is --  
7 which I think is -- is -- is in the right direction. And  
8 again, I -- I really appreciate everybody's comments and  
9 -- and the work of -- of the workgroup.

10 **MS. TELFER:** Super. Thank you very much. So we will  
11 move to spontaneous comment, questions, observations, and  
12 begin with Jeanne Briskin who is first with their hand up.

13 **MS. BRISKIN:** Thanks, Matt. I really appreciate your  
14 response and with respect to the -- the great coincidence  
15 of availability of funding to help support addressing  
16 lead-based paint and that's, of course, something I will  
17 be sure to remind my colleagues of when they address the  
18 dust lead clearance levels and so forth. And I didn't  
19 mean to imply that -- that there wouldn't be important  
20 benefits that come along with greater cleanups,  
21 particularly those that are focused in areas that have the  
22 highest levels of dust lead around so -- so thank you for  
23 that and, you know, we'll be collecting information on,  
24 you know, kind of a sum total of -- of funding available I  
25 think and how far that might go and I think that will be

1 helpful to inform any future work on dust lead clearance  
2 levels that may occur. Thank you.

3 **MR. AMMON:** One -- one thing about -- I just want to  
4 add is that, you know, being that there is so much, you  
5 know, resources now available that it is helpful if all of  
6 us collectively get out the word that these resources are  
7 available. Yes, I know they're competitive grant  
8 programs, but again, we've done a lot in terms of reducing  
9 the barriers to access the money, which is helpful because  
10 we want to make sure that the money gets to communities.  
11 But it -- it really behooves all of us to make sure that  
12 communities and jurisdictions not only are aware of the  
13 money, but also apply for the money so that -- that it can  
14 be used in communities where needed most in, again, the  
15 funding that we have is -- is throughout the U.S. It's  
16 not just focused on -- on one city or jurisdiction. It's  
17 really a nationwide effort to -- to reduce exposure. So  
18 thanks.

19 **MS. TELFER:** Thank you. Michael Focazio has his hand  
20 up.

21 **DR. FOCAZIO:** Yeah, thanks. I wanted to follow up  
22 quickly on Matt's point about risk communications, and  
23 this is a little off topic from what the report went into,  
24 but, you know, I mentioned earlier that we do sample in  
25 rural areas where people are supplying their own drinking



1 water and for which are hardly ever monitored. You know,  
2 there's no need to comply with an MCL or, you know, an EPA  
3 regulation, but that's about 40 million, as I understand  
4 it, Americans, and so when we do that, we often do detect  
5 lead but it's -- it's at fairly low levels and trying to  
6 explain what that means to a homeowner is -- is not a  
7 simple thing. So I mean, I don't have a -- a specific  
8 request for an answer there, it's just I think putting  
9 that out there and when we start to ramp up more and more  
10 in underserved communities which I think we're going to be  
11 doing in USGS and pretty much the federal government, we  
12 will probably be sampling more of those wells and so that  
13 may become an information resource for you all as well,  
14 down the road. And so again, I'm always looking for those  
15 opportunities that help you, and with that I'll -- I'll  
16 add one last point, which is if there's anything you all  
17 think USGS could be doing -- should be doing to help you,  
18 you know, I mentioned soils and water, those are the kinds  
19 of things that, you know, we have expertise in and we have  
20 capabilities across the nation. Just reach out, you know,  
21 we can do it informally or whatever as -- that would very  
22 -- I would really appreciate that I know, you know, our  
23 colleagues at USGS would as well. Thanks.

24 **MS. TELFER:** Thank you. Other comments from other  
25 members of the advisory committee?

1           **DR. MIELKE:** Yes, I have a comment. This is Howard  
2 Mielke. USGS, you're great at mapping and I've always  
3 been frustrated over the fact that cities have not been  
4 part of your mapping programs. I worked with the  
5 Norwegians in mapping cities in Norway, but we couldn't  
6 seem to get cities mapped in the U.S. There was a very  
7 big line from the non-urban environment as -- was the only  
8 place that you could work. Is there any way to change  
9 that?

10           **DR. FOCAZIO:** So comments like this I can bring them  
11 back to USGS -- and by the way you're not the first person  
12 who has said that. We have done some work in cities more  
13 and more over the years, because of that obvious need, but  
14 again, because we're part of the Department of Interior a  
15 lot of the focus is on federal lands and that's off in  
16 rural areas, obviously. But point well taken, Howard, and  
17 the other way you can do it, of course, is reach out to  
18 your local -- or your state representatives and mention  
19 this to them, as well, that you -- you would benefit from  
20 the USGS mission being more than just federal lands. But  
21 that -- that simple statement can -- can really help.

22           **MS. TELFER:** All right. Are there any other  
23 questions or comments? And I'll turn back to -- to our --  
24 to Perri and to Matthew that there's a request from one of  
25 our -- our guests to just have a little bit of discussion

1 about, you know, to change or not to change the BLRV since  
2 that is a -- a pivotal question.

3 **MS. RUCKART:** I see that Dr. Breysse has his hand up,  
4 so I'll turn it over to him and then we can circle back.

5 **MS. TELFER:** Thanks, Perri.

6 **DR. BREYSSE:** I think I'll hold my comment and it  
7 sounds like what was just proposed was a -- was a  
8 discussion that probably needs to proceed.

9 **MS. RUCKART:** Okay, great.

10 **MS. TELFER:** All right. So commenting reflections at  
11 the very least on to change or not to change. You all  
12 have outlined some of the opportunities, some of the  
13 challenges, some of the implications for -- from federal  
14 to individual level, so what are your thoughts about the -  
15 - the question -- the ultimate question on the table? And  
16 just raise your hand.

17 **DR. MIELKE:** In the medical community some of the  
18 pediatricians I've worked with, you know; this is New  
19 Orleans, it's an old city. As I've demonstrated very high  
20 lead levels in the urban environment -- in some parts of  
21 the urban environment and when the blood lead comes back  
22 high, meaning 5.3 or, you know, something they consider  
23 that high, the question always comes up, now what do we  
24 do, and the answers aren't always that clear as to what to  
25 do. Some unfortunate (...technical audio difficulty...)

1           **MS. TELFER:** Unfortunately, I think you're -- we've  
2 frozen you for some reason or you have become frozen.

3           **DR. MIELKE:** -- creating the biggest problem.  
4 Anyway, the I -- I think we have to move forward. This is  
5 extremely important and it just gives us a -- a clear  
6 sense of the importance of reducing exposures and then you  
7 have to work on figuring out how to do that. Let's see, I  
8 have a message saying that my video is stopped so I don't  
9 know what to do about this.

10          **MS. TELFER:** We hear you perfectly so ultimately  
11 that's the most important thing.

12          **DR. MIELKE:** Okay. So -- but I do support the --  
13 reducing the level to 3.5 to have that message that this  
14 is important to reduce the exposure of children throughout  
15 the United States.

16          **MS. TELFER:** Matthew. Yes, sir.

17          **MR. AMMON:** Yeah, the exact same thing. Yeah. This  
18 is an important message to send, you know, it's based and  
19 rooted in data and science. We've been talking about this  
20 for -- for years and so I think it's an important  
21 statement to make so that's why, again, I'm very pleased  
22 with what the recommendation is.

23          **MS. TELFER:** Super. We have a couple of hands up.  
24 So we'll begin with Nathan Graber.

25          **DR. GRABER:** So I, you know, I just -- I want to

1 start by saying, of course, I -- I endorse this moving  
2 forward with adopting BLRV and I -- I think that the --  
3 the document that we put together from the workgroup does  
4 have a lot of caveats, we need to take that into  
5 consideration. In particular, it's really important for  
6 the stakeholders to understand it's not mandate. I think  
7 that's -- that's an important topic -- statement that's  
8 made in the document, as well.

9 The other thing is that it's really incumbent upon  
10 CDC to put forward, not just clear communications, but  
11 just very clear and specific guidelines for the  
12 stakeholders on what is this BLRV -- BLRV mean, what  
13 actions to take for children with different blood lead  
14 levels, be very, very clear about that. What the  
15 expectation is for the health department response and what  
16 the expectation is for the healthcare provider response.  
17 It makes it much more easy to communicate with families,  
18 with communities, with elected officials, with everyone  
19 when we could all say that what we're doing is consistent  
20 with the guidance from CDC. It's, you know, in some ways,  
21 you know, I hope that CDC would have that guidance out  
22 before the BLRV is about -- but, obviously, it's got to be  
23 done in response to the adoption of the BLRV.

24 **MS. TELFER:** Thank you. Erika Marquez.

25 **DR. MARQUEZ:** And I think I echo exactly the comments

1 already made. I support the move towards lowering the  
2 reference value. I think it's based as the data is  
3 consistent with why we said it before. And again, I don't  
4 want to be repetitive, but I think that we've talked about  
5 a lot of considerations moving forward, but I think on the  
6 onset we -- we definitely -- I support moving -- lowering  
7 it to 3.5.

8 **MS. TELFER:** We certainly don't want to curtail  
9 discussion so if there are other comments, please continue  
10 to raise your hand. On the other hand, if we are at a --  
11 a point where you all feel as though you're comfortable  
12 with where we are at this point, then I will turn it back  
13 to Matthew and Perri for -- for their leadership.

14 **MS. RUCKART:** I see that Nathan would like to make an  
15 additional comment.

16 **DR. GRABER:** Yeah. Just -- just one additional  
17 thing. I just want to say that some of the comments I  
18 heard all day long is that this is a tremendous  
19 opportunity; it reinvigorates the conversation around  
20 reducing childhood lead poisoning. It's an opportunity to  
21 reinvigorate the discussion around primary prevention. It  
22 sounds like those -- a lot of additional funding that's  
23 either, you know, on -- on its way or potentially  
24 available for use in reducing lead exposure from multiple  
25 sources, including the most important, which is

1 deteriorating lead-based paint. And it's also an  
2 opportunity for us to look at our surveillance programs  
3 and how we use these data because we're gonna have a lot  
4 more data if they're -- if we're looking at -- at kids  
5 with BLR -- you know, above -- we're looking at kids and  
6 using the BLRV or any detectable level as -- as a need to  
7 do additional testing and -- and improving those -- those  
8 data, in particular, having -- making sure that whatever  
9 data is collected is usable for guiding a lot of the  
10 primary prevention efforts, you know, whatever it is,  
11 geocoding with data or whatever it takes, you know, to get  
12 that done. But I just want to say that, yeah, I think  
13 this is a big opportunity. It's -- it's not just the  
14 right thing to do.

15 **MR. AMMON:** I want to make sure that Dr. Breysse gets  
16 a chance to comment.

17 **DR. BREYSSE:** Yeah, can you hear me?

18 **MS. TELFER:** Yes, sir.

19 **DR. BREYSSE:** Good. So I -- I just want to commit to  
20 something. You know, I heard a lot of the comments  
21 afterwards about the importance of how this gets rolled  
22 out and how it gets communicated and who do we reach out  
23 to. So you know if -- if this moves forward, I -- I can -  
24 - I can, you know, commit to developing a very careful and  
25 comprehensive rollout plan that touches on all the

1 challenges you said and -- and we're -- we're used to  
2 doing this and, in fact, you know, we'll do it in -- in  
3 conjunction with HUD and EPA so we have an interagency  
4 kind of agreement about what it means and how to move  
5 forward since it touches on all the work that we do and so  
6 we've done this for a number of issues; we are committed  
7 to do it again. We'll make sure we reach out to all the  
8 health departments and the clinical community, as well.  
9 CDC has a number of mechanisms we could do that, and we'll  
10 incorporate all those mechanisms going forward. You know,  
11 these issues were raised two or more years ago when we  
12 first thought we were going to do this and -- and we know  
13 that this is important and -- and I'm -- I'm excited about  
14 what the opportunities bring just as everybody said and  
15 I'm going -- I'll commit to making sure that we develop a  
16 comprehensive and interagency communication plan that  
17 touches on all the concerns that you all mentioned.

18 **MS. TELFER:** Thanks, Pat. I know that's encouraging  
19 to everybody. Let's go to Jeanne Briskin.

20 **MS. BRISKIN:** So Nathan and -- and Pat have been very  
21 eloquent in the importance of adjusting the blood lead  
22 reference value, and I'm not sure whether you're looking  
23 for votes or concurrence and so just in case that matters,  
24 I just want to be clear that, you know, with that small  
25 edit that I suggested, I would definitely concur with



1 improving the blood lead reference value as the report and  
2 the subcommittee has suggested.

3 **MS. TELFER:** Great. Thank you for that. Let's move  
4 to Julianne Nassif, please.

5 **MS. NASSIF:** Hi. Thank you for the opportunity to  
6 weigh in. I appreciate hearing everyone's comments. I  
7 just wanted to build upon some of Nathan's comments about  
8 things that have to happen to successfully implement the  
9 blood lead reference value. And I just want to remind the  
10 group that there are a number of recommendations that the  
11 workgroup made to successfully measure at 3.5. Currently  
12 that's really not achievable by the point of care  
13 instruments and it is difficult in some laboratory  
14 settings. So there really does need to be a number of  
15 technological improvements and improvements to analytical  
16 sensitivity in order to effectively implement the change.  
17 So I just wanted to keep that in the minds of the panel as  
18 they contemplate this and think about the timeline. Thank  
19 you.

20 **MS. TELFER:** Thank you for bringing forward that  
21 essential consideration for implementation. Back to  
22 Dr. Ryer-Powder, if we may.

23 **DR. RYER-POWDER:** I -- I, you know, I just want to  
24 add on a -- on a very surface level. The BLRV was defined  
25 based on -- based on data from NHANES. And -- and that is

1 just the -- the -- how BLRV was initially defined. So if  
2 -- if we are to keep a BLRV at all, it should -- it should  
3 be what it was originally defined to be which is the  
4 97.5th percentile blood lead level based on NHANES data  
5 and -- and if that's not the case, then either the whole  
6 term needs to be dropped or the term needs to be  
7 redefined. So just big picture.

8 **MS. TELFER:** Thank you. Matthew and Perri, I will  
9 turn back to you for what -- what step to take forward  
10 next.

11 **MR. AMMON:** Well, I think -- I think we're at the  
12 time now we've heard from everybody and -- and the  
13 comments have been very, very helpful but, you know, at  
14 this point I'd like to make a motion to approve the  
15 workgroup's recommendation to adopt or revise the blood  
16 lead reference value of 3.5.

17 **DR. GRABER:** Can I second that?

18 **MR. AMMON:** Yes, you may. Yeah. Is everyone on  
19 video? Can we see everybody on video, or do we want to do  
20 a voice vote?

21 **MS. RUCKART:** Could we do a voice vote so that we can  
22 have it captured?

23 **MR. AMMON:** Yes.

24 **MS. RUCKART:** No. I'm getting the sense that maybe  
25 we don't need to do that. We can just have you confirm

1 based on the visual at that time?

2 **MR. AMMON:** Yeah. I mean, in looking around and  
3 listening to the comments, I didn't hear any comment to  
4 not approve the recommendation. I believe it is a  
5 unanimous consent to approve the workgroup's  
6 recommendation. If there's anything that I misstated, let  
7 me know now; otherwise, no, I don't see anything so it's  
8 unanimous consent to approve the workgroup's  
9 recommendation to adopt or revise blood lead reference  
10 value of 3.5. Jana, you're on mute.

11 **MS. TELFER:** Yes, I -- you all should be thankful.  
12 Dr. Allwood, do you have a comment to encourage the group?

13 **DR. ALLWOOD:** Thank you so much. It was just more or  
14 less a point of clarification. And Matt, I just wondered,  
15 you know, if the -- if the motion is to adopt the  
16 recommendation to go to 3.5 BLRV or the entire workgroup  
17 report which had some other recommendations in there?

18 **MS. RUCKART:** Sorry, Matt, you're on mute.

19 **MR. AMMON:** Yeah. As -- as I mentioned, you mean the  
20 -- the workgroup was to make a recommendation on adopting  
21 or keeping the reference value. That in essence is what  
22 we're voting on. I think we're all very pleased beyond  
23 that that the -- that the report had the completeness of  
24 the report including other aspects to -- to make the  
25 reference value more implementable in terms of messaging

1 and things of that nature. But the vote is on the narrow  
2 aspect of approving the workgroup's recommendation on  
3 adopting a revised blood lead reference value. Is that  
4 clear?

5 **DR. ALLWOOD:** Thank you.

6 **MR. AMMON:** So again if -- if we need to do a vote, I  
7 thought we just did, but if we need to do a vote, we can  
8 do it by a showing of hands, if that's the easiest way to  
9 do it. So if everybody can see me, if everyone is in  
10 favor of adopting the workgroup's recommendation, please  
11 raise your hand in support. I can't see everybody. Jana,  
12 can you see everybody? You're on mute -- you're on mute.

13 **MS. TELFER:** Sorry. I have everyone in gallery view  
14 and I believe I can see everybody and all hands appear to  
15 be up.

16 **MR. AMMON:** Thank you.

17 **MS. TELFER:** All hands that are eligible to be up --

18 **MR. AMMON:** Yes.

19 **MS. TELFER:** -- are in the up -- I just -- let me  
20 clarify.

21 **MR. AMMON:** Well, then the motion passes. Thank you  
22 everybody.

23 **MS. TELFER:** All right. I believe we are about at  
24 least 30 minutes, perhaps an hour ahead of schedule. So,  
25 again, I'll turn back to Perri and Matthew for how to

1 handle this found time.

2 **MS. RUCKART:** Yes, thank you, Jana. We are  
3 definitely ahead of schedule. So we have a break  
4 scheduled at 2:30. We can discuss some additional items  
5 until then and break at 2:30 and come back and wrap up.  
6 Or we could break early. Matt what would you like to do?

7 **MR. AMMON:** You know, if there's anything anybody  
8 needs to discuss now, we can take a little break and then  
9 come back and then walk through any other facilitated  
10 discussion and then I have my notes here to report back on  
11 what we've talked about and any additional topics for the  
12 next meeting.

13 **MS. TELFER:** Nathan does have his hand up so do you  
14 want to allow him to make that comment before the break or  
15 after?

16 **MR. AMMON:** Well, of course, no, now is perfect, go  
17 ahead.

18 **DR. GRABER:** Okay. No. It's -- I wanted -- if you  
19 want a topic for discussion, I have a topic for  
20 discussion, but we can do that whenever you decide.

21 **MS. RUCKART:** Jana, we also have Ginger's hand is  
22 raised. Ginger's hand raised.

23 **MS. TELFER:** Right. Yes. I just wanted to go to the  
24 LEPAC member first, that's all. Okay, Dr. Chew.

25 **DR. CHEW:** Hi, thank you. I just wanted a little bit

1 of clarification. There were several comments and  
2 questions about additions to the draft report that was  
3 sent to the LEPAC, and Jill had already asked about how we  
4 can receive those. Can they send them to us -- a point of  
5 contact if they have any written comments? I know that  
6 EPA has already sent some comments, but it would be great  
7 to see them in the draft report, track changes, edits,  
8 please.

9 **MS. RUCKART:** I'd like to suggest if there are any  
10 comments, they can be sent to our LEPAC mailbox,  
11 [lepac@cdc.gov](mailto:lepac@cdc.gov), and then we can work on getting them over  
12 to you, Ginger or Jill, whoever's the best source for  
13 that.

14 **DR. CHEW:** They can be sent to both Jill and to me  
15 and we'll make sure that we can share them with the blood  
16 lead reference value workgroup to address those issues.

17 **MS. RUCKART:** Okay. Did you want to discuss a  
18 timeline for when you'd like to receive comments by so you  
19 can move forward?

20 **DR. CHEW:** If possible like within the next week.

21 **MS. RUCKART:** Yes. So that's [lepac@cdc.gov](mailto:lepac@cdc.gov).

22 **MS. TELFER:** Okay. How long would you like to have  
23 for your break? Do you want to go until the next  
24 scheduled session or set a different time for the  
25 benefit --

1           **MS. RUCKART:** I think we have -- I think we have an  
2 additional comment from Jill?

3           **MS. TELFER:** Yes, we do.

4           **DR. RYER-POWDER:** So I just want to make sure at some  
5 point we address how we go about responding to the  
6 comments. Is that going to be either number one,  
7 incorporation of the comments into a revised draft and  
8 then give that back to LEPAC? Or are we responding to  
9 comments first then -- then getting that back and then  
10 revising the document based on those response to comments.  
11 So I just -- I want to be clear as to how -- how the  
12 workgroup should move forward and -- and what the process  
13 is for revised document.

14           **MS. TELFER:** Perri or Pat or Ginger?

15           **DR. CHEW:** Right. I think I can speak to that. I  
16 think, Jill, what we'll do is after we receive the  
17 comments from the LEPAC members we will have another  
18 meeting amongst our -- among our workgroup members to  
19 address those edits and we'll send a new version to the  
20 LEPAC -- a revised version, sorry.

21           **DR. RYER-POWDER:** Okay. In a track and change form?  
22 Is that --

23           **DR. CHEW:** Yeah. Yeah. It'll make it easy for them  
24 to see where their comments were and where we addressed  
25 those comments.

1           **DR. RYER-POWDER:** Got it, okay.

2           **DR. BREYSSE:** If -- if I can just be clear, you know,  
3 about some of the comments of -- you went with the report  
4 -- the report very clearly said we need to develop a  
5 communication plan. There's a lot of discussion about how  
6 that has to occur. I don't think you need to elaborate on  
7 that in the report. I -- I think the take-home message is  
8 we need to have a communication plan. And so logistical  
9 things can be dealt with separately outside the report.  
10 We're really just interested in the -- in the content of  
11 the report. So if you have logistical questions and  
12 comments, let's deal with them separately, but if there's  
13 something very specific in terms of the content and the  
14 intent of the report, those are probably what we -- we  
15 prefer that you focused on.

16           **DR. CHEW:** Agreed. We will just focus on what was in  
17 our charge. I know that there were some side discussions  
18 that happened during this discussion, but we'll focus on  
19 what was in our charge.

20           **MS. TELFER:** I don't see any other hands raised  
21 either physically in your frames or virtually on the  
22 participant list right now.

23           **MS. RUCKART:** Okay. If there's no objections, why  
24 don't we take a break until 2:30 and then reconvene. I  
25 see no objections; I will see you at 2:30.



1 (Break 2:10 p.m. to 2:30 p.m.)

2 **MS. RUCKART:** Hi, everyone. It's 2:28, we'll be  
3 starting back up in about two minutes. Thank you.

4 **CONTINUED FACILITATED DISCUSSION**

5 **MS. RUCKART:** Okay. Welcome back. It's 2:30 so  
6 let's keep going with the meeting. I will turn it over to  
7 Matt.

8 **MR. AMMON:** Hey, everybody. I hope you had a good  
9 break. The -- wanted to bring up -- well, first of all,  
10 is there anything, any follow-up that we need to discuss  
11 before we move on to another additional item that needs to  
12 be raised? No. We can have time at the end too. Just --  
13 just to let everybody know.

14 So appropriation languages and committee languages  
15 are -- are always an interesting thing what -- what's  
16 included in -- in our agency budgets. So last year, I  
17 believe, the appropriation language for CDC directed us,  
18 the advisory committee, to produce a report about the  
19 prevalence and impact of leaded paint manufacturing  
20 plants. And specifically, they called out that the report  
21 should identify leaded paint manufacturers, public health  
22 hazards posed by the plants, including but not limited to  
23 the environmental hazards and how the lead paint is being  
24 circulated.

25 Now the interesting thing about this report is that

1 they wanted it as part of CDC's FY '22 congressional  
2 justification. So -- so, you know, as you know, we have  
3 the appropriations bills and language and then we have  
4 committee reports. And this is one that was in the House  
5 Appropriations Committee Report, again, that was in the  
6 CDC's FY '21 appropriation that called out for this report  
7 to be -- to be done. And -- and we see them all the time  
8 in our agency, you know, they're called significant items  
9 and we're usually tasked to either provide a separate  
10 report or include it in the following year's congressional  
11 justification.

12 So in this particular case Congress is asking us, the  
13 LEPAC, to produce a report, again, about the prevalence  
14 and impact of leaded paint manufacturing plants in CDC's  
15 FY '22 CJ. Now I think you know in terms of content  
16 length, you know, as you know with this congressional  
17 justifications are -- are very long. You know, ours --  
18 ours is long as well. But because the -- the committee  
19 language requested that it be part of the '22 CJ, you  
20 know, I don't think this is going to be a fairly long  
21 report, and so you know I think, you know, even -- even  
22 our report that we have that we talked about at the  
23 beginning of -- of this meeting was -- was fairly short  
24 and that was basically over a year and a half worth of  
25 work. So I think it's something that -- that we've been

1 charged with talking about, and I think in terms of what  
2 the actual report is gonna look like is really for us to  
3 -- to -- to determine. I -- I -- I know this seems a  
4 little outside, in my personal opinion, outside the -- the  
5 charge of the workgroup, but it is something that, you  
6 know, that we need to respond to and assist CDC in their  
7 response as part of their FY '22 congressional  
8 justification. And again, it's an item that we need to  
9 talk about because we've been charged about talking with  
10 it and, again, I think at the end of the day when we talk  
11 about a report, is it going to be accumulation of the  
12 discussion we have here that we could include in the  
13 report or what additional information that we do need as  
14 part of maybe next meeting or -- or the like. Just to  
15 make sure that -- that it is something that is discussed  
16 and it's something that we could include, again, as part  
17 of -- of CDC's FY '22 budget submission.

18 And so, you know, with that I -- I can start by just,  
19 again, looking at what the language says and trying to  
20 interpret what they're really asking for and who is it  
21 coming from. Those are things we always try to figure out  
22 when we get this type of committee report about where the  
23 source is and just try to figure out more information on  
24 it. But I don't think we have that luxury here. So  
25 again, you know, for us to talk about if -- if -- not that

1 if we need to do a report, if we need to include  
2 information as part of the budget submission on, again,  
3 that prevalence and impact of leaded paint manufacturing  
4 plants, what would that entail and how deep we need to go  
5 and, you know, is it something, again, that we could  
6 discuss here and then a follow-up meeting and then include  
7 that as part of their CJ.

8 Now, I will say that -- that '22 congressional  
9 justification is coming up soon. So it's not -- the --  
10 the tail on this is pretty short actually so -- and CDC  
11 can respond in terms of their timing in terms of the '22  
12 congressional justification. I know ours is -- is, you  
13 know, everybody is probably (indiscernible). But is there  
14 -- I'm going to turn it over to the CDC for a second just  
15 to make sure I got the context right and any additional  
16 information that -- that they would like to provide just  
17 to make sure that people understand either their process  
18 internally or anything else that I may have missed.

19 So I will turn it over to either Perri or Dr. Breyse  
20 for additional comments.

21 **DR. BREYSSE:** I -- I'd be happy to jump in. So as --  
22 as Matt said, it's not unusual for language to come along  
23 with an appropriation like this. And I was quite  
24 surprised to find out that, you know, agencies are free --  
25 there's a lot of latitude in -- in whether you respond and

1           how you respond in -- in many cases.

2           Now, if we choose to respond, it's not going to be  
3 part of our '22 budget justification because that's  
4 already in the works. So the -- if we want to honor the  
5 spirit of the request, we'll -- we'll work with you to  
6 kind of generate some report that we would submit in a --  
7 in a separate venue -- separately from our budget  
8 justification. Or, you know, if you think it's beyond the  
9 scope of the -- of this -- this workgroup, you know, I  
10 think if we show that you discussed it, you know, we would  
11 -- we'd simply write back as part of our justification  
12 that, you know, it's beyond the scope of this workgroup  
13 and -- and recommend that Congress, you know, find another  
14 path to get it done.

15           So I'll leave it up to you guys. You can do a lot,  
16 you can do a little, you could do nothing. And -- and  
17 we'll report back depending on whatever you decide.

18           **DR. GRABER:** Can you just clarify what exactly  
19 they're asking for, I'm not really clear on that.

20           **DR. BREYSSE:** Matt, I don't have the language in  
21 front of me. Can you read it again or...

22           **MR. AMMON:** I can. So the committee directs LEPAC to  
23 produce a report about the prevalence and impact of leaded  
24 paint manufacturing plants. The report should identify  
25 the leaded paint manufacturers, public health hazards

1 posed by the plants, including but not limited to the  
2 environmental hazards, and how leaded paint is being  
3 circulated. So that's it, prevalence and impact of leaded  
4 paint manufacturing plants, public health hazards posed by  
5 the plants, and how the leaded paint is being circulated.

6 **DR. BREYSSE:** So you could see how -- how -- how big  
7 -- that sounds like a very simple request, but how big a  
8 request it is, you know, I don't even know if EPA has a  
9 list of all lead paint manufacturing plants. I assume  
10 that, Jeanne, the EPA has a list of -- they could look at  
11 plants that report lead as an emission above some sort of  
12 TRI threshold and they can go through that list and figure  
13 out which of them make paint and, you know, but whether  
14 that would be all of them or not, you know, who knows.

15 And then to look and see what, you know, what the  
16 impact of those emissions would be on communities. That  
17 would not be a trivial thing. You would then try to  
18 figure out where they sell, what that -- what kind of  
19 paint they're making, where it goes. You know, that's not  
20 a simple question to ask either. You know if, you know,  
21 certainly not something CDC would traditionally do and  
22 when I look at this, this sounds like something EPA would  
23 be best situated to do, but unfortunately EPA wasn't asked  
24 to it, you know, LEPAC was.

25 **DR. GRABER:** So are they talking about current paint

1 manufacturers, not historic?

2 **DR. BREYSSE:** Correct. That's what I understand.

3 **DR. GRABER:** Okay. That -- that -- I -- I don't  
4 know, I would say to the group, you know, as a member of  
5 the LEPAC that -- that's kind of outside of our scope. I  
6 mean, the best we can contribute to that is, what are the  
7 questions you should ask around even exposure.

8 **MS. RUCKART:** Jeanne, are you trying to respond?

9 **MS. BRISKIN:** Yes. Can you hear me?

10 **MS. RUCKART:** Yes, Jeanne.

11 **MS. BRISKIN:** So I'm checking to see -- certainly if  
12 there were emissions above a certain threshold and I'm not  
13 sure what the threshold in TRI is for lead, then any  
14 manufacturers would be in our database and so I'm checking  
15 now, but I don't know, you know, if there are  
16 manufacturers that don't have releases above whatever that  
17 level is for lead and they certainly would not be included  
18 in the database. There's no requirement to report, we  
19 don't have any emissions above the level. So I'm checking  
20 on that now for the group.

21 **MR. AMMON:** Yeah. So this is Matt. I, you know, I  
22 think that's an important point to talk about that there's  
23 data that we have or information that we have and there's  
24 other data and information that we don't have. So, you  
25 know, as part of the -- today's meeting -- we didn't -- we

1 can talk about, well, we know that this information is  
2 available and this is the source you can get the  
3 information. But it sounds like there's a whole lot of  
4 information where -- which does not exist at all and it  
5 would be -- it would be an exercise to get it. I think  
6 that's also important to note when we have the notes for  
7 this -- for this meeting to say, you know, we've been --  
8 we -- we were able to figure out where this information  
9 is, but there's a whole lot of information which we just  
10 don't have.

11 **DR. BREYSSE:** You know, I think if I could hazard a  
12 guess, you know, as you know, lead paint for residential  
13 use has been banned. But it's not banned for industrial  
14 uses and so somebody -- somebody in Congress might be  
15 thinking maybe we need to kind of move towards a ban of  
16 all lead-based paint whether it's industrial or -- or non,  
17 and maybe it's the first step that we can get this LEPAC  
18 group to help us kind of figure out, you know, just how  
19 prevalent lead paint manufacturing still is in the U.S.  
20 and -- and where does it go in, you know, what are we  
21 painting with that paint and is it all exported or is it  
22 used domestically. You know, if I had to hazard a guess  
23 that sounds something like what might be the -- the  
24 rationale for why this came about, which is all fine and  
25 good, but...



1           **MR. AMMON:** Yeah. And the only thing I would add too  
2 is that the vastness of expertise we have in this group, I  
3 think this is a very, you know, I think EPA might be the  
4 only one who would be able to -- to be able to provide any  
5 information, is my guess. Since, again, it's outside the  
6 scope of what the LEPAC would be focused on anyway and  
7 what level of expertise we would have sought to be able to  
8 respond to it.

9           **DR. MIELKE:** Well, one of the questions that I would  
10 come up with would be is lead paint still required on  
11 bridges and, you know, other structures. I've watched  
12 projects where they take all the lead based paint off and  
13 then they proceed to put lead based paint back on to  
14 replace the paint that was just removed and I don't know  
15 what the status of that kind of regulation is currently.

16           **MR. AMMON:** Yeah. And I -- I echo Dr. Mielke what  
17 you said. I mean, I don't know the, again, all it says in  
18 the body and our expertise, you know, I think this is  
19 beyond being able to answer that. So again, you know, I  
20 think it's -- it's a matter of if we had information that  
21 we could provide in terms of reference of where  
22 information is, but that might be the extent of -- of what  
23 knowledge we have.

24           **DR. BREYSSE:** So maybe a modest report that lists  
25 kind of the sites that we could identify based on EPA's

1 toxic release inventory and whatever information we can  
2 readily glean or easily glean about kind of what  
3 industrial paint uses still exist, you know, might be the  
4 best we could do.

5 **MR. AMMON:** Yeah. That's my feeling. I mean, that's  
6 just my personal feeling. I don't know if anybody else  
7 wants to weigh in and confirm that. Again, since we're  
8 charged with it we should be able to have a response at  
9 least in our report somewhere.

10 Does anybody have anything additional to add?

11 **MS. RUCKART:** Jill, you have your hand raised?

12 **DR. RYER-POWDER:** Yeah. So I, you know, I'm  
13 wondering if -- if we could offer to -- to supply exposure  
14 information based on uses -- current uses of lead-based  
15 paint so, you know, for example if it's -- if it's  
16 currently being used -- I -- I don't know -- if it's  
17 currently being used to paint interior of industrial  
18 buildings or whatnot a potential exposure could be to a  
19 worker who might take it home. If it's currently used to  
20 paint bridges or -- or things like that, the exposure  
21 could be to those workers who, again, take it home. So  
22 just maybe a small contribution of potential exposures  
23 once information regarding its use is provided to us.

24 **MR. AMMON:** And that data's available then?

25 **DR. RYER-POWDER:** Well, if -- if that data -- if that

1 data is available, we could provide information on  
2 potential exposures. Like if data is available as to uses  
3 -- current uses of lead-based paint, I think our group has  
4 the expertise to provide information on potential  
5 exposures. Not -- not necessarily amounts or quantitate  
6 what that might mean in terms of blood lead levels, but at  
7 least we could say, here's -- here are all the potentials  
8 for exposures and -- and that could be enough information  
9 to say okay, you probably shouldn't be using lead-based  
10 paint anymore or the exposures aren't enough to warrant  
11 banning lead-based paint.

12 **MS. DEFOE:** So you mentioned exposures to workers and  
13 I just wanted to say that, so building an exposure profile  
14 which is what it sounds like is what you're talking  
15 about --

16 **DR. RYER-POWDER:** Yeah.

17 **MS. DEFOE:** -- the thing that OSHA does that we do  
18 when we put out a notice of proposed rulemaking. And at  
19 this time, we're at the stage of developing and hopefully  
20 soon publishing an advanced notice of proposed rulemaking  
21 but that is prior to the NPRM. Typically developing an  
22 exposure profile for an NPRM takes several years to do and  
23 for something like lead, it's going to be on the high end  
24 of our, you know, it's -- it's going to be a very  
25 intensive, if -- if we wind up developing an exposure

1 profile which it's not -- it's not clear that we would do  
2 depending on the scope of the NPRM. So that's -- it  
3 sounds worthwhile but I'm -- I'm -- it -- it's, again, it  
4 sounds like it's a much bigger endeavor than we can do in  
5 a -- in a -- in a short time frame.

6 **MR. AMMON:** Just one additional thing, you know, not  
7 to add any more confusion, but it doesn't even say in the  
8 U.S. and I'm -- I'm hoping that's just what they mean, but  
9 they might have -- a lot of countries haven't banned lead  
10 yet, but I'm hoping they just mean the U.S. But -- but,  
11 again, this gets back to what I was saying that there's --  
12 that some data might be available, but there's also a  
13 longer tail on stuff that's in the works or things of that  
14 nature from -- from OSHA which would provide more clarity,  
15 again, you know, this gets back to providing a very short  
16 response to this requirement based on what we know right  
17 now.

18 **MS. DEFOE:** Would it be possible to get more clarity  
19 on what they're asking and whether, I mean, more clarity  
20 and -- and a better sense of whether the scope of what  
21 they're asking could be usefully narrowed in some way?

22 **MR. AMMON:** Then the only way to do that and what  
23 we've tried to do in the past, it's a little bit of a  
24 sleuthing exercise because, you know, coming from the  
25 House or Senate, you, you know, you'd have to go back to

1 figure out who put the language in there and then figure  
2 out, you know, talk to them about what their intent is and  
3 things of that nature. Usually we don't even -- we can't  
4 get to that point because it's just included in there. So  
5 I don't know and correct me if I'm wrong here, I don't  
6 know if we have that luxury, but that is something that I  
7 think we've asked, you know, in terms of just providing  
8 additional clarity since it's, you know, since the report  
9 was asked for. But -- and I don't know if there's any ^  
10 in CDC's budget, but I don't know if there's any points in  
11 the budget or whether their congressional inner government  
12 relations folks has additional clarity on who and why this  
13 was put in and what the expectations are.

14 **DR. BREYSSE:** Yeah. We have no idea where it came  
15 from so it'll be hard to go back and ask for clarification  
16 going forward which is just what happens when -- when  
17 Congress puts this language along with -- with the  
18 authorization -- with the appropriations. So recognizing,  
19 you know, it's not law, you know, so it's not part of  
20 congressional language that they voted on and approved.  
21 But it's something, I guess, you know, we -- we still need  
22 to talk about and how we respond.

23 **MS. RUCKART:** Jill, I see your hand is raised?

24 **DR. RYER-POWDER:** No.

25 **MS. RUCKART:** Okay. Maybe it's from the last time.

1 Jeanne, did you wish to make another comment?

2 **MS. BRISKIN:** Yeah. I just did a quick search on TRI  
3 and you can search by CAS number for the chemical lead or  
4 lead compounds and by industry to a three-digit NAICS code  
5 which gets you not just paint manufacturers but others in  
6 the chemical industry. There are reports from paint  
7 manufacturers emitting chemicals and it's required to look  
8 at each particular entry to figure out how much lead was  
9 in their reports. There are 87 facilities that would need  
10 to be looked at. So it is possible to do that so that's  
11 for anybody that's got greater than the reportable limits  
12 and then what we don't have is for companies below the --  
13 the reportable limit. I just wanted to get back on that  
14 earlier part of the conversation. Thanks.

15 **MR. AMMON:** So Jeanne -- is there -- is there a way  
16 for that information to be summarized then and provided?  
17 I mean, again, you know, I know Dr. Breyse said this is  
18 not included in their 2022 budget because it's already  
19 passed, but, again, being as responsive as possible based  
20 on the data and you probably, you've done more research  
21 then all of us combined on this in 10 minutes, which may  
22 be as good as it gets. And so is this something that is -  
23 - that is feasible? Is this the direction we want to go?  
24 It sounds to me like it's going to be as good as we're  
25 going to get it in terms of what data is available and

1 what we can provide at this point and what we have, aside  
2 from the other long tail OSHA -- OSHA stuff, but I think  
3 providing a summary is going to be the best option at this  
4 point on what we have available.

5 **DR. BREYSSE:** But -- but, Matt, just to be clear, the  
6 language isn't asking us to go back to Congress and put  
7 money in our -- in our budget to do this. All right. So  
8 there -- there is no intention of doing that. Congress is  
9 just asking for the report and they just -- the vehicle  
10 for getting the report back was to get it back as part of  
11 the -- that -- that budget plan so we, you know, there was  
12 never any, you know, thought of kind of asking for money.

13 **MR. AMMON:** No. I get that. I -- that's why I'm  
14 trying to figure out the easiest way to be responsive.

15 **DR. BREYSSE:** Got it.

16 **MR. AMMON:** As to do a report based on the data that  
17 EPA may have that can be provided as part of that. And,  
18 again, this could be part of the LEPAC report that we do  
19 on an annual basis since we're off cycle for the '22  
20 appropriations anyway. So --

21 **MS. DEFOE:** Oh, I apologize, I wanted to say that if  
22 -- if we do take the direction of preparing a report, some  
23 of that EPA data, you know, I'd be glad to look into what  
24 we could contribute to it. It -- it would not be a full  
25 exposure profile, but -- but, you know, might be able to

1 find something useful in our inspection data, for example.

2 **MR. AMMON:** Yeah. I think that's great. I mean, I  
3 think that's what I would look for too, you know, I know  
4 we're not looking for, right, full exposure, we're looking  
5 for being responsive to looking at what data is available  
6 and providing that and that I think that would mean that  
7 we've been responsive.

8 **MS. TELFER:** Jeanne, did you have a comment?

9 **MS. BRISKIN:** No, I did not have -- I did not have an  
10 additional comment. I'm actually downloading and emailing  
11 to Matt the list that I found.

12 **MS. TELFER:** Super. Then Nathan has his hand up as  
13 well then.

14 **DR. GRABER:** Yeah. I just -- I just want to  
15 understand, you know, is it possible to go back and ask  
16 them the question are they -- are they suggesting that,  
17 you know, we want to look at lead paint manufactured here  
18 in the United States that's for sale internationally and  
19 then we act on that? I don't -- you know, I don't know if  
20 that's what they're trying to get at because that's a real  
21 exposure risk and is something that U.S. companies could  
22 be responsible for. I don't know, is that possible?

23 **DR. BREYSSE:** I don't think we have any idea  
24 unfortunately.

25 **MR. AMMON:** Yeah. I mean I think -- I think, you



1 know, is -- is EPA say, you know, they have the best  
2 information that we could use either through the -- the  
3 TRI or lateral lead compounds. I mean, that's probably as  
4 good as -- good as we're going to get. Because I know,  
5 Nathan, that's -- it is important for us to understand  
6 context, but I don't think we have the luxury of doing  
7 that. And again, this is typical with stuff that we see,  
8 the way it was provided as part of the committee language,  
9 unfortunately.

10 **DR. GRABER:** There's a question in the chat.

11 **MS. TELFER:** Other comments from members of the  
12 committee?

13 **MS. RUCKART:** Okay. Seeing that there's no more  
14 comments, should we just go into the summary of the  
15 meeting, Matt, and then circle back to see if there's any  
16 remaining comments after that?

17 **MR. AMMON:** Yeah. Then -- I've seen the chat, Dave  
18 Jacobs wanted to make a comment on... that's okay. I  
19 would imagine it's on this issue unless this is reserved  
20 for committee members.

21 **MS. RUCKART:** It's my understanding that this time is  
22 reserved for committee members and the public comment  
23 period is over, but I'll defer to you if you would like to  
24 extend that opportunity to him.

25 **MR. AMMON:** As my previous boss, I'm going to extend.

1 I'll give him 30 seconds, David, you have 30 seconds.

2 **DR. JACOBS:** Hi, it's just a point of information.  
3 So there are data on lead pigment production. One source  
4 is from the International Lead Zinc Research Organization,  
5 or their successor, and the other EPA is working with the  
6 UN Global Alliance to End Lead Paint. And so both of  
7 those have data sources on at least international  
8 production of lead paint which is occurring in the U.S.,  
9 as well as in other countries. So I can maybe access some  
10 of that for you. I've done it in the past with ^. Thanks  
11 for extending me the courtesy.

12 **WRAP UP FACILITATED DISCUSSION AND TOPICS FOR NEXT MEETING**

13 **MR. AMMON:** Thanks, David. With that, you know, is  
14 it okay to proceed with the summary? Anybody have any  
15 additional comments before I do that? Is that okay,  
16 Perri?

17 **MS. RUCKART:** Yes. Please go ahead. I just also  
18 want to mention the reason I'm not showing my video is  
19 because I'm getting a computer message that my  
20 connection's unstable so I'm trying to preserve my  
21 connection to the meeting by not showing my video.

22 **MR. AMMON:** That's why my home office is in my  
23 laundry room because my router is like two feet away.  
24 Anyway, that's okay. So today was a -- was a great day.  
25 We -- we had a lot of great information and a lot of back

1 and forth. We heard about the Federal Lead Action Plan  
2 and we -- there was a presentation on that and really how  
3 the Federal Lead Action Plan has served as a -- as a  
4 blueprint for reducing lead exposure and associated harms  
5 through a lot of collaboration with -- with federal  
6 agencies.

7 It's highlighted and focused on four goals to reduce  
8 exposure, to improve children's health, looks at legacy  
9 sources but also other sources of lead exposure and it's  
10 been in a really important tool for the agencies to  
11 coalesce around and organize collectively around a set of  
12 common outcomes. And -- and also it's really been a great  
13 tool to learn what other agencies are doing. We've had a  
14 tremendous amount of -- of information sharing throughout  
15 our history, but in particular, when we have an organizing  
16 document around to -- to coalesce our activities around  
17 and the Federal Lead Action Plan has done that.

18 Then we heard about the American Healthy Homes  
19 Survey II. Really, this is the only survey we're really  
20 looking at, lead-based paint and housing units with  
21 lead-based paint and it's been a really important tool for  
22 us as we discuss and describe what lead-based paint looks  
23 like in housing across the country and in particular what  
24 -- what focus -- housing units we should be focused on.  
25 Looking at those with significant lead-based paint hazards

1 with a child and also a 30 percent of poverty. Looking at  
2 what's occurred over the last, you know, 15 to 20 years in  
3 terms of us looking at data and it's -- it's a good story.  
4 It's a good story that the overall number of housing units  
5 have gone down with lead-based paint. The number with --  
6 percentage with lead-based paint that are government  
7 assisted has gone down. Also looking at disparities and  
8 the -- the percentage of African-American households with  
9 lead-based paint has gone down. Also homes at the poverty  
10 level with children have gone down.

11 And so I think, again, it's -- it's a really good  
12 story, not only looking at with the collective work that  
13 we've all done, but really tailoring and looking at  
14 aspects of lead-based paint in the housing and -- and  
15 looking at reductions in the median blood lead -- dust  
16 lead loading for floors and sills which is important and  
17 soil lead concentrations, I mean, all of those things, the  
18 trend lines are -- are in the -- going in the right  
19 direction, showing a reduction, so, you know, us doing  
20 that type of work and this type of research validating our  
21 work and making sure that we -- we have the right, not  
22 only the descriptive tools, but also tools for better  
23 planning is important.

24 Then we had a great discussion on the 40-year  
25 analysis of NHANES and, of course, for HUD, you know, we

1 use NHANES regularly in terms of providing support for our  
2 work. You know, it's been a huge part of the way that we  
3 describe, not only our -- our work collectively, but our  
4 progress. And, again, this is -- this is a great message.  
5 It's a great message that overall blood lead levels in the  
6 U.S. have decreased significantly over the past 40 years.  
7 I think that sends a really small message -- a really  
8 strong message showing that we've made significant  
9 progress that has been made in reducing number of children  
10 with elevated blood lead levels. And, you know, again, it  
11 just shows that we have made a tremendous amount of  
12 progress in doing the right thing and doing the right work  
13 and focusing our attention in the right way to make sure  
14 that -- that we continue to make progress on addressing  
15 this issue.

16 And then in terms of public comment, Dr. Dave Jacobs  
17 talked about background on soil lead standards and we  
18 heard about how that came about on the three tenants of  
19 looking at that in terms of being protective of health,  
20 feasible and measurable. And also considering looking at  
21 additional soil lead protective measures. And then  
22 comment from Justin Leef looking at the importance of  
23 linking data and encouraging us to integrate data across  
24 our sources and among government agencies. I think this  
25 is a -- this is a noble thing and at least we can do that

1 and share data.

2 And then we talked about our annual report and with  
3 unanimous consent we approved the annual report which is  
4 good. And, of course, the big news of the day which is  
5 the blood lead reference value and the fantastic work that  
6 the workgroup put in all of that. Motion passing  
7 unanimous with unanimous consent through a hand vote  
8 approving that which, again, it's -- it's a big milestone  
9 for all of us, there's a lot to celebrate.

10 And then we talked about what we've been talking  
11 about a little bit, which is the leaded paint  
12 manufacturing plant language that came from the House  
13 Committee about what to do with that and I think we have  
14 an answer to it where I'll be talking with EPA in terms of  
15 what information we can provide and be responsive to that.  
16 And, basically, pulling data from TRI and -- and being  
17 able to provide whatever information we have. It seemed  
18 like there was definitely consent that the information was  
19 a little bit outside the scope of our advisory committee  
20 and also beyond some of our expertise so -- but we do have  
21 a path forward for that now.

22 And that wraps up where we have been the entire day.  
23 Like I said, it's been a momentous day, great  
24 presentations, I think a great story in that we did a lot  
25 of hard work and also passed, again, with unanimous

1 consent of the recommendation from the blood lead  
2 reference value group. Those are all my sticky notes.  
3 Should I turn it over to Perri?

4 **MS. RUCKART:** I need a minute because I just got  
5 connected back because I want to open a file. So please  
6 give me a minute.

7 **MR. AMMON:** Go ahead, no problem. Pat, did you want  
8 to say anything, Dr. Breysse?

9 **DR. BREYSEE:** No. I just want to thank everybody for  
10 their work today. It was a great day. Thank you all very  
11 much.

12 **MR. AMMON:** It doesn't even feel like a Friday, does  
13 it? I'm not even sure what day it is anymore but a lot of  
14 good work on a Friday.

15 **MS. TELFER:** Nathan, I see a hand up. Do you want --  
16 have a comment?

17 **DR. GRABER:** Yeah. I don't -- I don't want to keep  
18 anybody that's being, you know, between, you know, between  
19 their -- from -- between this work and their weekend. But  
20 I did not really have a -- see an opportunity to just talk  
21 about a couple of things earlier today. One of them came  
22 up during the discussion on the Federal Lead Action Plan  
23 and that has to do around contaminating consumer products  
24 and how that's addressed and, you know, I can kind of  
25 leave it like -- like this, is that, you know, I -- there

1 -- there may be holes in the -- in the -- in the system  
2 for preventing exposure to lead through consumer products,  
3 in particular imported consumer products, and there's a  
4 lot of good reasons why it's a very difficult issue to  
5 address and -- and monitor. So, you know, I was hoping to  
6 get some -- some thoughts on that or leave it as, you  
7 know, this is a -- something it would be great if maybe  
8 FDA at some point could discuss that with us at this  
9 meeting and how that's addressed and what kind of systems,  
10 what they're thinking about in terms of next steps. I'll  
11 bring it up, you know, in the context also of lowering the  
12 BLRV because as we see investigations -- environmental  
13 investigations taking place in the homes of kids with  
14 lower and lower blood lead levels, in particular blood  
15 levels between 5 and 9. I -- I -- I, you know, it's my  
16 understanding out in the -- in that, you know, in that  
17 world anymore, but it's my understanding that more and  
18 more they're not identifying a single source as being  
19 responsible, but multiple sources contributing to that  
20 child lead exposure. And that can be, you know, still  
21 primarily lead in old paint, some drinking water, some  
22 soil, and consumer products. And so -- so, I think, it's  
23 an important thing to kind of get our hands around and  
24 it's very hard as a pediatrician also to identify when a  
25 parent is talking to me about the products they use at



1 home and when that's a risk factor and is not a risk  
2 factor. So I thought that would be an important thing for  
3 us to address.

4 The other thing I did mention it earlier, and I just  
5 want to bring it up again. The last few years have been  
6 an opportunity in a number of different ways. There have  
7 been a number of jurisdictions that have adopted 5 and use  
8 that as a trigger for environmental investigations. That  
9 has tremendously increased the burden of local health  
10 departments to respond, maybe even potentially shifting  
11 resources away from some of that for primary prevention  
12 efforts. Compounded on that we have the -- the global  
13 pandemic. COVID-19 has certainly changed the way some  
14 things are done and it's a tremendous opportunity for us  
15 to look at some of the creative ways that local health  
16 departments have gone about addressing lead hazards in the  
17 home using either a risk-based strategy, using things like  
18 tele-video visits, using parents and having them do their  
19 own investigations of the home, or, you know, other tools  
20 that health departments have done so, you know, used  
21 creatively. And I'm imagining some of, you know, this I  
22 think -- I think I've heard from some folks that they're  
23 doing things differently. I've seen some here locally and  
24 what folks are doing and so I think this is a tremendous  
25 opportunity for us to look at a way to use our resources

1 to address childhood lead exposure in new and creative  
2 ways, things that are they effective, if they're effective  
3 are they effective with less resources being put into  
4 them. And is it really impacting on primary prevention  
5 efforts or is it contributing to them.

6 So those are a couple of comments that I wanted to  
7 make earlier, I just didn't see exactly the right  
8 opportunity, so thank you for letting me do that now.

9 **MR. AMMON:** I -- I absolutely appreciate all those  
10 comments especially when you kind of tied back what I  
11 talked about earlier that everything that we do is local.  
12 Everything that we do should be focused on the fact that  
13 it's good to hear about innovations that are happening at  
14 the local level given not only what happened last year,  
15 but just the magnitude of the issue. I think it would be  
16 good -- be very good to hear about some innovations  
17 locally and I -- I, you know, I know a couple off the top  
18 of my head which I'd love to be able to bring to the group  
19 to hear about what they're doing in terms of innovation,  
20 especially linking medical services with environmental  
21 health both together, not just focused on -- on  
22 medication, but also focused on prevention.

23 So I appreciate that. And also, Nathan, you brought  
24 up some other new issues and I did want to open it up in  
25 terms of asking for topics for the next meeting. I have

1 two from you, Nathan, to consider, the consumer products -  
2 - contaminated consumer products and I also linked back  
3 with Dr. Friedman who can bring it up to the Task Force in  
4 terms of any -- any -- I don't know FDA they were a part  
5 -- they are a part of the group to see if -- if we're  
6 having a discussion with that then who would it be and --  
7 and things of that nature. So I can raise that to him if  
8 that's okay and then also your topic about local  
9 innovations.

10 I did want to open it up to see if anybody else had  
11 any other topics for the next meeting.

12 **MS. TELFER:** I'm seeing Howard's hand. And remember  
13 to unmute.

14 **DR. MIELKE:** I'd like to add to Nathan's comment  
15 about cosmetics. One of the cosmetics that I've dealt --  
16 spent a lot of time on was lead acetate with hair coloring  
17 agents that had lead acetate in them. We thought that was  
18 taken care of. The research that I did was in 1997 and I  
19 thought with the last couple of years that the FDA had  
20 finally done something about it, but it turns out that the  
21 lead acetate industry has -- or the hair coloring industry  
22 has managed to undercut what was being done by FDA. And  
23 now the products aren't on the shelves in the drug stores  
24 and other places, but the people who are selling  
25 cosmetics, if you ask about can I get Grecian formula they

1 say, well just go to the website, here are the website  
2 numbers, you can get it off -- on the website. And that  
3 raises a big concern that there are ways of getting beyond  
4 what FDA was trying to stop it and then it turns out  
5 people are getting it anyway and I don't know how much of  
6 a product is being sold, but I do know that it is a very  
7 high-risk product in the bathroom because it's easy to  
8 spill and easily absorbed both through the skin and then  
9 orally, ingestion.

10 And one other topic that I would like to bring up is  
11 that there are many projects throughout the country right  
12 now that are working on revitalizing interiors of cities.  
13 And I did a figure five that I sent to everybody has a  
14 couple of Philadelphia, New York City and New Orleans  
15 where the city is taking on projects to change the soil --  
16 or change the quality of the playgrounds throughout the  
17 city and I think it's a very healthy kind of movement  
18 where the citizens become involved and then they manage to  
19 arrange to change what's taking place in terms of exposure  
20 outside. So I just wanted to bring those up. Thank you.

21 **MR. AMMON:** Thank you, Dr. Mielke. Any other issues,  
22 topics for the next meeting?

23 **MS. TELFER:** Jeanne Briskin.

24 **MS. BRISKIN:** Thanks. While we're looking at other  
25 sources of lead and I have searched through TRI to learn

1 about lead paint manufacturer, I sorted all of the  
2 emissions in TRIs for lead rather than lead compounds and  
3 the paint manufacturers don't show up in the top 100 of  
4 the emitters, but what shows up at the number two point is  
5 a secondary lead smelter and then a lot of -- of places  
6 that are disposing of ammunition -- military places. So  
7 with respect to secondary lead smelting, there are two  
8 slightly older reports that I'd like to commend to the  
9 committee that my colleagues at EPA tell me are still  
10 relevant and so what I'll do is I don't have access to the  
11 chat box, I will email them to Melissa and to Alexis and -  
12 - and you can share them that way to -- to the rest of the  
13 committee to the extent if you guys are interested in  
14 secondary lead smelters which is a high release -- there  
15 was this one facility that the high release facility in  
16 the nation for lead totally. Thanks.

17 **MS. TELFER:** Thank you. Other comments or -- or  
18 thoughts about what would be good topics for discussion in  
19 the future? Erika.

20 **DR. MARQUEZ:** Well, I don't know if this is, like,  
21 for the next -- our next meeting, but I'm wondering at  
22 some point do we need to have some conversation about  
23 Biden's efforts to replace lead pipes and, I mean, even if  
24 we're -- it's informing in some capacity. I know this is,  
25 like, you know, his dream vision thing, but do -- do we

1 need -- do we play a role or should we think ahead before  
2 those efforts to move forward and, again, this is really  
3 -- I don't know if it is even within our scope, I -- just  
4 something to think about if -- if we need to prepare -- I  
5 don't know information, again, if -- if you guys feel like  
6 it's in our scope.

7 **MS. TELFER:** Jill, comment?

8 **DR. RYER-POWDER:** I don't know, no.

9 **DR. MIELKE:** I have a comment actually about the  
10 replacement of lead pipes. When I was living in  
11 Minnesota, there was a big effort to try to eliminate a  
12 lot of sources of lead and lead pipes. And the city of  
13 St. Paul decided to go ahead and do that and then they  
14 were aware of the amount of lead also being found in the  
15 soil so they arranged to combine both the pipework that  
16 they were doing which is obviously on streets and the  
17 boulevards of the same streets. They'd bring in cleaner  
18 soil from outside the city and, you know, as part of the  
19 project, and I think it was pretty invisible which changes  
20 took place that when we went back and looked at the  
21 boulevard lead levels and they were amazingly lower  
22 compared to what they had been before. So I just wanted  
23 to -- infrastructure seems to be a major topic right now  
24 and if we could figure out how to make infrastructure  
25 towards, you know, also cleaning up some of the lead

1 problems that we have with the urban environments that  
2 would be very helpful.

3 **MS. TELFER:** Okay, Matt, I'm not seeing any more  
4 hands raised.

5 **MR. AMMON:** Okay. One additional thing I'll say is I  
6 know another topic area could be environmental justice. I  
7 know that that is something that is going to come back  
8 strong and I know that we've all done plans in our own  
9 agencies regarding that so it may be another consideration  
10 for environmental justice. So is there no more questions,  
11 comments, or topics? I'll hold for 15 seconds before we  
12 conclude and adjourn.

13 Anything popping up there, Jana?

14 **MS. RUCKART:** Yes. Jana, you're on mute, but Paul  
15 has his hand raised.

16 **DR. ALLWOOD:** Hi, everybody. So I just wanted to add  
17 my voice to all of the -- the excellent commentary that --  
18 that have so far been given on the report by the BLRV  
19 workgroup. As a new branch chief I feel really inspired  
20 and motivated by the effort and how it came together. And  
21 I know you -- you said that you were meeting since October  
22 and -- and were doing this virtually and that's not a lot  
23 of time and to pull off such a -- such a huge feat and so  
24 I know there, you know, there are lots of questions about  
25 how will the recommendation to lower the BLRV be

1 implemented. I think that's -- that is right for people  
2 to be asking that kind of question now and, you know, why,  
3 you know, of course, I don't have a timeline that I can  
4 speak about today, but I, you know, I can assure everyone  
5 that -- that, you know, we will be having discussions  
6 starting, you know, right now about how we can implement,  
7 you know, that recommendation and, you know, we'll be  
8 working to do that as soon as possible. So just wanted to  
9 kind of add my voice to the -- the other positive  
10 commentary and to say thank you, you know, this has been a  
11 great meeting.

12 **MR. AMMON:** And that sounds like a perfect way to  
13 close the meeting. I appreciate the comments. I  
14 appreciate everybody's work and time. I look forward to  
15 continuing this work and continuing collaborating with you  
16 and if, you know, if I don't hear anything from anybody  
17 else -- yes.

18 **MS. RUCKART:** Matt, this is Perri. I just wanted to  
19 say a few closing things. I just want to thank everybody  
20 for hanging in here. It is a Friday and we had a lot to  
21 cover and I think we made a lot of ground so I appreciate  
22 that. And when we get the transcript and have a chance to  
23 review it, we will post that and summary notes and all the  
24 presentations from today on our website so please check  
25 back in the future. And when we have information about



1 the next meeting, we will share that, as well. So, again,  
2 I really thank everybody for joining us today and for your  
3 continued participation and support and have a good  
4 weekend.

5 **MR. AMMON:** The meeting is now adjourned. Thank you  
6 all. Have a great weekend. Talk to you all soon.

7 (Meeting adjourned at 3:25 p.m.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

CERTIFICATE OF COURT REPORTER

STATE OF GEORGIA  
COUNTY OF FULTON

I, Steven Ray Green, Certified Merit Court Reporter, CCR A-2102, hereby certify that the foregoing pages numbered 2 through 193 constitute a true, correct and accurate transcript of the proceedings heard before me and was transcribed under my supervision.

I further certify that I am a disinterested party to this action and that I am neither of relation nor counsel to any of the parties hereto.

In witness whereof, I hereby affix my hand on this, the 28th day of May, 2021.

*Steven Ray Green*

Steven Ray Green, CVR-CM-M, CCR A-2102